

UNITED STATES OF AMERICA
 FOOD AND DRUG ADMINISTRATION
 CENTER FOR BIOLOGICS EVALUATION AND RESEARCH
 VACCINES AND RELATED BIOLOGICAL PRODUCTS

ADVISORY COMMITTEE

+ + + + +

MEETING

+ + + + +

WEDNESDAY,

JANUARY 31, 2001

The meeting was held at 9:00 a.m. in the Versailles Rooms I, II, and III of the Bethesda Holiday Inn, 8120 Wisconsin Avenue, Bethesda, Maryland, DR. ROBERT DAUM, Acting Chair, presiding.

PRESENT:

MARY K. ESTES Ph.D.
 STEVE KOHL, M.D.
 KWANG SIK KIM, M.D.
 ALICE S. HUANG, Ph.D.
 ROBERT S. DAUM, M.D.
 DIXIE E. SNIDER JR., M.D., M.P.H.
 DAVID STEPHENS, M.D.
 DIANE E. GRIFFIN, M.D., Ph.D.
 AUDREY F., MANLEY, M.D., M.P.H.
 PAMELA DIAZ, M.D.
 BARBARA LOE FISHER
 JUDITH D. GOLDBERG, D., S.c.D
 WALTER L. FAGGET, M.D.

NANCY CHERRY
 Executive Secretary
 DENISE ROYSTER
 COMMITTEE MANAGEMENT SPECIALIST

CONSULTANTS PRESENT:

DR. PATRICIA FERRIERI
 DR. MARTIN MYERS
 DR. JUDY GOLDBERG
 DR. MICHAEL O'FALLEN
 DR. JEFFREY DAVIS
 DR. PAT COYLE
 DR. BEN LUFT
 DR. WAYNE RAY
 DR. RAY DATTWYLER
 DR. ROBERT BALL
 DR. SUE ELLENBERG

FDA REPRESENTATIVES PRESENT:

DR. KAREN MIDTHUN
 DR. PATRICIA ROHAN

MANUFACTURER REPRESENTATIVES:

DR. CLARE KAHN - SmithKline Beecham
 DR. YVES LOBET - SmithKline Beecham
 DR. FRANCOISE MEURICE - SmithKline Beecham
 DR. BERNARD HOET - SmithKline Beecham
 DR. RICHARD PLATT - SmithKline Beecham
 DR. DAVID WHEADON - SmithKline Beecham

VAERS REPRESENTATIVE:

DR. ROBERT BALL

PUBLIC PRESENT:

DR. SIDNEY M. WOLFE
 KAREN FORSCHNER
 STEPHEN SHELLER
 JENNY MARRA
 KAY LYON
 EMILY S. BEIGEL
 LYNN LANE
 JOHN HARDY
 PAT SMITH
 LORI GELBART
 LINDA SCHARF-LURIE
 TERRY ELIAS
 DAVID WELD
 PAT EASTON

PUBLIC PRESENT: (Cont.)

DR. KENNETH DARDICK
KAREN BURKE

<u>AGENDA ITEM</u>	<u>PAGE</u>
Call to Order/Welcome	5
Dr. Robert Daum, Acting Chair	
Presentation by Dr. Bart Classen	9
 <u>Session 2 - OPEN session</u>	
<u>SmithKline Beecham's LYMErix Lyme Disease Vaccine Safety Update</u>	
Introduction - Dr. Karen Midthun, FDA	13
 <u>FDA Presentation on Pre-Licensure Safety</u>	
Dr. Patricia Rohan, FDA	14
 <u>Sponsor's Presentation on Pre-Licensure Safety Data</u>	
Dr. Clare Kahn	25
Dr. Yves Lobet	32
Dr. Francois Meurice	53
Dr. Bernard Hoet	76
Dr. Richard Platt	78
 <u>FDA Presentation on Post-Licensure Safety Data</u>	
Dr. Robert Ball	129
Open Public Hearing	150
Committee Discussion	223

P-R-O-C-E-E-D-I-N-G-S

(9:05 a.m.)

CHAIR DAUM: We are gathered, or about to be gathered, I guess, in a slightly unusual configuration today, in that some of our FDA colleagues are going to be joining us at the meeting table, if they haven't already.

I would like to begin in our usual way of asking the committee members to introduce themselves. And with all due respect from criticism I received yesterday, we will start with Dixie this morning, if you wouldn't mind.

DR. SNIDER: Dixie Snider, Centers for Disease Control and Prevention.

DR. STEPHENS: David Stephens, Emory University, Atlanta, Georgia.

DR. KIM: Kwang Sik Kim, Johns Hopkins.

DR. GRIFFIN: Diane Griffin, Johns Hopkins, in Baltimore.

DR. KOHL: Steve Kohl, Oregon Health Science University.

DR. MANLEY: Audrey Manley, Spellman College, Atlanta, Georgia.

DR. DIAZ: Pamela Diaz, Chicago Department of Public Health.

MS. FISHER: Barbara Loe Fisher, National Vaccine Information Center.

1 DR. FAGGET: Walt Fagget, private practice,
2 pediatrics, National Medical Association.

3 DR. ESTES: Mary Estes, Baylor College of Medicine,
4 Houston, Texas.

5 DR. FERRIERI: Patricia Ferrieri, University of
6 Minnesota Medical School, Minneapolis.

7 DR. MYERS: Martin Myers, National Vaccine Program
8 Office.

9 DR. GOLDBERG: Judith Goldberg, New York University
10 School of Medicine.

11 DR. O'FALLEN: Michael O'Fallen, Mayo Clinic.

12 DR. DAVIS: Jeff Davis, Wisconsin Division of
13 Public Health.

14 DR. COYLE: Pat Coyle, SUNY, Stonybrook.

15 DR. LUFT: Benjamin Luft, SUNY, Stonybrook.

16 DR. RAY: Wayne Ray, Vanderbilt University,
17 Nashville, Tennessee.

18 CHAIR DAUM: Thank you very much. I'm Robert Daum
19 from the University of Chicago.

20 I would like to turn the floor over now to Nancy
21 Cherry, who will read the conflict of interest statement.

22 MS. CHERRY: Before I do that I would like to add a
23 welcome to Dr. Daum, welcome to you, and make my usual
24 announcement which is, for any of you that are parked in the
25 public parking area across the street, please be vigilant, don't

1 let your meter run out of quarters, because those lots are checked
2 very carefully.

3 I would also like to just make a note for the
4 record that the arrangements for today's meeting were made by
5 Denise Royster, who is the Committee Management Specialist. And
6 you will find her at the front desk, assisted by Rosanna Harvey,
7 and Sheila Langford. And I know Sheila is in the room. Rosanna
8 is in the room, I guess Denise is probably at the desk right now.

9 Now, for the conflict of interest statement.

10 The following announcement addresses conflict of
11 interest issues associated with the meeting of the Vaccines and
12 Related Biological Products Advisory Committee of January 31,
13 2001, for the discussion regarding a vaccine for the prevention of
14 lyme disease.

15 To determine if any conflicts of interest existed,
16 the Agency reviewed the submitted agenda, and all financial
17 interests reported by the meeting participants.

18 As a result of this review, the following
19 disclosures are made related to the discussions regarding lyme
20 disease. Dr. Alice Huang has recused herself from this
21 discussion; Dr. Jeffrey Davis has been granted a waiver in
22 accordance with 18USC208(b)(3), which permits him to participate
23 fully on the discussions on lyme disease.

24 Drs. Dattwyler, Daum, Ferrieri, Goldberg, Griffin,
25 Katz, Kohl, Luft and Snider have associations with firms that

1 could be, or appear to be, affected by the committee discussions.

2 However, in accordance with 18USC208 and section
3 2635502, of the Standards of Conduct, it has been determined that
4 none of these associations is sufficient to warrant the need for a
5 waiver, or for a written appearance determination.

6 In the event that the discussions involve specific
7 products or firms not on the agenda, and for which FDA's
8 participants have a financial interest, the participants are
9 reminded of the need to exclude themselves from the discussions.
10 Their recusals will be noted for the public record.

11 With respect to all other meeting participants we
12 ask, in the interest of fairness, that you state your name, and
13 affiliation, and any current or previous financial involvement
14 with any firm whose products you wish to comment on.

15 CHAIR DAUM: Thank you very much, Nancy. Before we
16 proceed to the open session, and the topic of the day, I would
17 like to call on Dr. Bart Classen, who wishes to address the
18 committee in open public hearing for five minutes.

19 Dr. Classen?

20 DR. CLASSEN: Thank you. I have been here before
21 the Committee on the past to present some data on a large
22 prospective randomized clinical trial where we looked at the
23 development of insulin dependent diabetes, and auto-immunize
24 disease where you were looking for as a marker of toxicity from
25 the vaccine.

1 This study initially was published in the New
2 England Journal of Medicine. And the group here, one group
3 received four doses, one group received one dose, they were
4 randomized, and we also have a control group that didn't receive
5 any vaccine at all.

6 And I presented this slide before to the group.
7 The group that got four doses of vaccine had the highest incidence
8 of diabetes. The group that got three doses, I mean, one dose,
9 had intermediate level. And the group here that received no
10 vaccine had a low accumulative instance of diabetes.

11 We've actually published some of this in the
12 British Medical Journal. More recent analysis, however, has shown
13 statistically significant clusters. And this is one point I
14 wanted to bring to you, is that we found that all the -- this is
15 the group that received four doses of vaccine, starting at three
16 months of age, shown here in the blue. And this is the group
17 that received one dose at 24 months.

18 The curves diverge at around three years and a
19 quarter after the vaccine is given. They are, otherwise, super-
20 imposable. And then we see a statistically significant cluster
21 occurring right here about three and a quarter years after the
22 vaccine is given.

23 This is the group that got one dose of vaccine,
24 starting at 24 months of life, and actually on average the vaccine
25 was given around 26 months of life.

1 And this is a control group that got no vaccine.
2 While there is some slight divergence here, the groups are
3 essentially superimposable until, again, three years and a quarter
4 after the vaccine is given, when we see a statistically
5 significant cluster.

6 So, again, in two different analysis we see the
7 same cluster, a statistically significant cluster occurring around
8 three years and a quarter after the vaccine is given. And we
9 think this is strong support for a causal relationship.

10 Furthermore we have done additional animal studies
11 now, both -- these are in diabetes prone mice. Both groups got
12 hepatitis B vaccine at birth, and at one month. However, the
13 group in blue got HIB, DTP, AP, and inactivated polio vaccine
14 starting around ten weeks of life, and they got three doses.

15 Again you see here the group that got the vaccines
16 had the higher risk of diabetes, statistically significant.
17 Again, this is strong support for a causal relationship.

18 There is a number of people out in the public that
19 are calling for decreased number of doses of certain vaccines like
20 the Pertossis vaccine, and the inactive polio vaccine, and our
21 data supports this immunization schedule.

22 The last point I wanted to make, our last slide,
23 was that during the Prevnar presentation, the group from Kaiser
24 presented some data suggesting that they would expect 11 cases of
25 diabetes in each of the groups of about 18,000 with a two year

1 followup.

2 This amounts to 58 cases per 100,000. This is what
3 they would expect if there was no increased risk of diabetes from
4 Pevnar. Well, Finland has the highest incidence of diabetes in
5 the world, and we found only 30 cases per 100,000 when we looked
6 at a two year followup.

7 So for some reason the Kaiser calculations were
8 that they would expect twice the rate of diabetes in their groups
9 than Finland, which has the highest instance of diabetes.

10 Clearly we think that there may be some
11 miscalculations, or something is amiss, when they expect that if
12 the Pevnar didn't cause diabetes they would have this very high
13 rate of diabetes.

14 And so we think that this data should be made
15 public so that we can further analyze this, and find out, and
16 track the incidence of diabetes in the Pevnar groups to ensure
17 the safety of Pevnar.

18 That is all I have today, to say, and I want to
19 thank you for the time to speak to the committee. Any questions?

20 CHAIR DAUM: Thank you Dr. Claussen. I would like
21 to move now to the open session. The FDA members could, at this
22 point if they wish to, join us at the table.

23 And we are going to begin by calling on Dr. Karen
24 Midthun to introduce the topic to us. Dr. Midthun?

25 DR. MIDTHUN: Good morning, and welcome. The topic

1 for today's Advisory Committee will be the lyme disease vaccine,
2 LYMErix.

3 This vaccine was licensed in December of 1998 for
4 the prevention of lyme disease in individuals 15 to 70 years of
5 age. This vaccine contains recombinant outer surface protein A,
6 so called OspA. OspA is a major outer surface protein of borrelia
7 Burgdorferi, the bacterium that causes lyme disease.

8 Since licensure some members of the public have
9 expressed safety concerns regarding this vaccine. What we will do
10 today is review the available safety data, the cautions that have
11 been taken, and our plans for continued safety evaluation of this
12 vaccine.

13 We will provide an overview of the safety data,
14 both that which was available at the time of licensure, as well as
15 additional safety data that have accrued since that time, from two
16 major sources.

17 One source is the phase IV study, which was part of
18 the post-licensure commitment, that SmithKline Beecham made at the
19 time of licensure, and the second is adverse events which have
20 been reported to the vaccine adverse event reporting system.

21 And what we would like is for the Advisory
22 Committee to discuss the safety data, and the plans for continued
23 safety evaluation of this vaccine.

24 And with that introduction I would like to
25 introduce Dr. Patricia Rohan, medical officer in the Office of

1 Vaccines in the Center for Biologics, who will give the first
2 presentation for FDA.

3 DR. ROHAN: Good morning, everyone. I would like
4 to briefly review the pre-licensure safety data for LYMErix, and
5 then to update you with respect to safety related activities that
6 have been conducted since the time of licensure.

7 CHAIR DAUM: Could you adjust the microphone, Dr.
8 Rohan, so that you speak -- that is probably a little better,
9 thank you.

10 DR. ROHAN: First of all a little background. Lyme
11 disease was first recognized in the mid and late 1970s, and has
12 become the most common U. S. vector borne disease. It is
13 endemic in several areas of the United States, with over 90
14 percent of the reported cases occurring in approximately 150
15 counties located in the northeastern and mid-Atlantic seaboard,
16 and upper north central United States.

17 The peak disease transmission season in late spring
18 through summer, is coincident with the feeding of the nymphal
19 tick, the most common source of human infection.

20 The phase 3 pivotal efficacy study was a
21 perspective multi-center, randomized, double blind placebo control
22 trial. It was conducted over two lyme disease transmission
23 seasons, and conducted at 31 sites in areas known to be endemic
24 for lyme disease.

25 It enrolled approximately 11,000 subjects who were

1 equally randomized to either receive the lyme disease vaccine, or
2 a placebo, which was the adjuvant alone. Vaccination was
3 administered intra-muscularly at 0, 1, and 12 months, and the
4 blinded observation period was 20 months.

5 There were several exclusion criteria, including
6 the following. Physician diagnosed chronic joint or neurologic
7 illness related to lyme disease, current disease associated with
8 joint swelling or diffused joint or muscular pain, a known second
9 or third degree atrial-ventricular cardiac conduction block, or
10 cardiac pacemaker, pregnancy, or breast feeding.

11 As you can see the study had slightly more males
12 enrolled. The group was overwhelmingly white, the treatment
13 groups were similar in terms of age and gender, with the mean age
14 46 years.

15 With respect to efficacy, prevention of definite
16 cases of lyme disease in the first year, following two doses of
17 the LYMERix lyme disease vaccine, there was 50 percent efficacy
18 seen. And in the second year following the third dose of LYMERix,
19 78 percent efficacy.

20 And there was no difference detected in lyme
21 disease manifestations when vaccinees were compared to placebo
22 recipients.

23 Safety was monitored in a variety of ways. First
24 of all, solicited adverse events were studied in a subset of 938
25 subjects via four day diary cards which were administered

1 immediately following each vaccination, and subjects were
2 specifically queried so that their responses could be compared
3 between groups.

4 There was also routine monitoring of all subjects,
5 including clinic visits at 0, 1, 2, 12, 13 and 20 month. At each
6 clinic visit the subjects were asked regarding the onset of any
7 new adverse events since their last visit or postcard.

8 Safety postcards were used over the lyme disease
9 seasons, five times in the first year, and three times in the
10 second year, to gather more data during the actual transmission
11 season.

12 After unblinding at month 20 an additional safety
13 postcard was used at month 24 to collect additional safety data,
14 and a data safety monitoring board was in place.

15 As you can see the results of the solicited adverse
16 events from the diary card data showed that there were
17 significantly increased rates of redness, soreness, swelling,
18 arthralgia, fatigue and rash in the vaccinee group versus the
19 placebo group.

20 Also for adverse events in all subjects, which were
21 reported within 30 days of vaccination, there were increased rates
22 of injection site pain, injection site reaction, chills and
23 rigors, fevers, and myalgia in the vaccinee group, when compared to
24 the placebo.

25 And I included data from the category arthralgia to

1 show you that there was not a statistically significant difference
2 between vaccinee and placebo overall in the 30 day period post-
3 vaccination.

4 Also for adverse events occurring in all subjects,
5 overall, more than 30 days after vaccination, there was no
6 particular pattern of adverse events, differences between the
7 placebo and vaccine recipients.

8 I also included data here to show you that the
9 arthralgia rates, the arthritis, arthrosis, myalgia, and
10 tendinitis were approximately the same in both the vaccinee and
11 placebo group for events occurring, again, more than 30 days after
12 vaccination. The study also looked at subjects who
13 had a history of lyme disease prior to entry into the study.
14 There were 1,206 subjects who self-reported a history of lyme
15 disease. That group reported increased musculoskeletal adverse
16 events, whether they were a member of the vaccinee, or the placebo
17 group, when you compared them to subjects who had no history of
18 lyme disease in those respective groups.

19 But there was an increased rate of musculoskeletal
20 adverse events in the vaccinees versus the placebo recipients, both
21 of whom had a history of lyme disease in the immediate 30 day
22 period following vaccination.

23 But that difference did not persist beyond 30 days,
24 after 30 days there was no difference between vaccinees and placebo
25 subjects who had a history of lyme disease.

1 The study also examined western blot positivity at
2 baseline. Baseline serology was examined in subjects who had a
3 positive or equivocal western blot when they were seen at a clinic
4 visit for suspected lyme disease.

5 And also all subjects who were tested in routine
6 testing at month 12 or 20, if they were found positive they had
7 retrospective analysis of their baseline sera, which was stored.

8 Using this approach 250 subjects were found to be
9 positive by western blot out of 628 subjects tested. However, the
10 nature and incidence of the adverse events did not differ between
11 vaccinees who were western blot positive, and vaccinees who were
12 western blot negative.

13 The overall lyme safety data base includes
14 information on 18,047 doses of LYMERix, and this is the 30
15 microgram dose that is currently licensed. And the subjects
16 exposed are 6,478, at least 15 years of age.

17 And I would point out that this group of subjects
18 is largely composed of subjects in the efficacy trial of 5,400 and
19 some patients.

20 This committee met May 28, 1998 and unanimously
21 decided that the pre-licensure data supported the safety and
22 efficacy of LYMERix given on a 0, 1, 12 month schedule in adults.

23 There were a number of recommended additional
24 requests for post-marketing data. And at the time of licensure
25 several post-marketing commitments were agreed to.

1 And I would like to briefly discuss a couple of
2 these in more detail. But just overall to tell you that the phase
3 IV study was planned to evaluate 25,000 vaccinees. It was agreed
4 that completion of a cellular immunity study, pre-clinical
5 reproductive toxicity study, and a pregnancy registry.

6 The phase IV perspective cohort study, its main
7 purpose is to evaluate LYMERix as a risk factor for new onset
8 inflammatory arthropathy. In addition, various selected
9 musculoskeletal and neurologic parameters are being compared, as
10 well as serious adverse events.

11 Vaccinees will be age and gender matched to controls
12 at a ratio of one to three. The study was begun in January 1st,
13 1999, and as of November 6, 2000, approximately two years later,
14 there are 2,568 vaccinees under study, and I point out that this is
15 about 10 percent of the planned 25,000 phase IV vaccinees.

16 The phase IV cohort safety study, when it is
17 completed, with 25,000 vaccinees and 75,000 non-vaccinees, will have
18 an 80 percent power to detect doubling of events occurring at a
19 rate of three per 10,000 in a non-vaccinee group.

20 The cellular immunity study was designed as an
21 exploratory study to describe the cellular response to OspA
22 protein in humans. Additionally there was interest because it had
23 been postulated that vaccinees with a DR4 allele could be at risk
24 for arthritis, based on several factors.

25 Lyme disease has been observed to persist for

1 months to several years, despite antibiotic treatment in a subset
2 of patients with lyme arthritis. There has been an association
3 reported between the DR4 allele, and treatment resistant lyme
4 arthritis.

5 Also DR4 is one of several alleles that has been
6 associated with disease severity in rheumatoid arthritis.

7 The study was completed, the results have been
8 reviewed. And as I described initially, it is an exploratory
9 study designed to describe cellular immune response in subjects
10 exposed to OspA vaccine.

11 It is of limited power. However, it failed to
12 identify an association between vaccination and arthritis in DR4
13 subjects.

14 I would like to acknowledge reviewers and other
15 individuals at FDA who helped review this data over the last
16 several years, and helped in the preparation of this presentation.

17 Now I would like to turn the podium over to the
18 sponsors so that they might also address this data. And thank you
19 for your attention, unless there are any questions.

20 CHAIR DAUM: Thank you, Dr. Rohan, for your
21 presentation.

22 We have time for some questions from the committee.
23 If there are any. Or, of course, our guests or consultants
24 today. Dr. Griffin?

25 DR. GRIFFIN: With respect to the cellular immunity

1 studies it sounds, from your presentation, like they were confined
2 to the DR4 positive subjects. Or was there a group that is DR4
3 negative that was being compared?

4 DR. ROHAN: No, and I think the sponsor will
5 probably be discussing that in more detail. But it was a
6 prospective study, and immune responses were described, and HLA
7 typing was done, you know, after the subjects were enrolled. They
8 weren't prospectively identified as DR4 necessarily.

9 DR. GRIFFIN: Okay, all right. So there will be
10 information --

11 DR. ROHAN: Yes, and there will be more detail to
12 that.

13 CHAIR DAUM: Ms. Fisher?

14 MS. FISHER: Are you aware of any other studies
15 that are at variance with your conclusions?

16 DR. ROHAN: Which particular conclusions?

17 MS. FISHER: On the DR4 allele not being a risk
18 factor.

19 DR. ROHAN: Well, as I said, this study was not
20 designed to answer the question is the DR4 allele associated or
21 does it confer increased risk to people who carry that allele when
22 they receive an OspA vaccine. That was not the purpose of this
23 study.

24 However, because it was being looked at we wanted
25 to make sure that we didn't see some sort of association within

1 that study. But, as I said, it was of limited power, so it didn't
2 happen to see an association.

3 But, you know, again that was not the primary
4 purpose of the study.

5 CHAIR DAUM: Dr. Fagget, please.

6 DR. FAGGET: Yes. In the writeup it states that
7 the current analysis, the small number of vaccinees does not allow
8 firm conclusions. Yet you say there was no association between
9 the vaccine and --

10 DR. ROHAN: Right. One of the ways that you don't
11 see an association is if the study is under power to see that
12 association.

13 DR. FAGGET: That sounded like it was a firm
14 conclusion that there was no association, that is why --

15 DR. ROHAN: Well, I tried to point out that the
16 study was exploratory, at the beginning the study was exploratory,
17 it was not designed to look to conclusively decide that question.
18 It was to describe, in an exploratory manner, immune response.

19 CHAIR DAUM: Other questions or comments for Dr.
20 Rohan from the committee?

21 (No response.)

22 DR. ROHAN: Thank you very much.

23 CHAIR DAUM: Thank you very much, Dr. Rohan.

24 We are now going to begin the SmithKline
25 presentation this morning. We have, by my count, five speakers

1 scheduled on the sponsor's agenda.

2 I think what we will do is get started and see how
3 things go, and perhaps take a coffee break in the middle, perhaps
4 not. Let's see how much work we get done, and how many anxious
5 faces I see around the table.

6 Our first speaker, as I understand it, is Dr. Kahn.
7 You are on.

8 DR. KAHN: Well, good morning, Members of the
9 Committee, FDA, and ladies and gentleman.

10 Over the next few minutes I will provide you the
11 retrospective of the history of the development of LYMERix lyme
12 disease vaccine recombinant OspA, and with an emphasis on the
13 product safety.

14 My name is Clare Kahn, I'm vice president of North
15 American regulatory affairs, responsible for vaccines.

16 GSK's presentation is essentially three parts.
17 First Dr. Yves Lobet will address theoretical considerations of
18 treatment resistant lyme arthritis, which we refer to as TRNA.

19 Dr. Francois Meurice will briefly review the data,
20 the specific issues of interest, and the safety profile which
21 supported the licensure of LYMERix two years ago.

22 And the third part of the presentation will address
23 all activities, including the status and the findings of the post-
24 licensure period. This presentation will be led by Dr. Bernard
25 Hoet, and with a special presentation of the post-marketing safety

1 cohort study at the Harvard Pilgrim Health Care, which is under
2 the independent direction of Dr. Richard Platt, and he is here
3 today to present those status report. And then I will make short
4 conclusions.

5 Well, maybe I can go quickly through this, as some
6 of my slides will be essentially covered. Lyme disease is a
7 multi-system disease caused by an infection with a spirochete
8 borrelia burgdorferi, that is transmitted by the ixodes tick.

9 Since its recognition in 1975 lyme disease has
10 become the most commonly diagnosed vector borne disease in the
11 United States with over 100,000 cases reported to the CDC from '82
12 to '98.

13 During that time cases have increased by over 32-
14 fold. The trend of an increasing incidence in some established
15 endemic areas continues along with geographic spread to new areas.

16 This lyme disease is now a vaccine preventable
17 disease, that disease is still on the rise. A few points on the
18 disease itself. Early lyme disease is usually characterized by a
19 rash, erythema migrans, fever, fatigue myalgias and arthralgias.

20 The early disseminated manifestations include
21 secondary skin lesions, neurologic involvement, cardiac
22 involvement, and musculoskeletal symptoms, usually consisting of
23 migratory pain in the joints and the surrounding soft tissue
24 structures.

25 The late stage disease, which occurs maybe months

1 to years after the initial infection, and may be manifest by
2 chronic conditions, including chronic arthritis, neurologic
3 abnormalities, or skin conditions.

4 There may be permanent sequelae and, in particular,
5 the late neurological involvement is associated with a chronic,
6 slowly progressive disease.

7 Since there is no practical enzootic control of
8 infection, sorry, control of enzootic infection, or to prevent its
9 spread, and since personal measures are largely and infrequently
10 implemented, the introduction of a preventive vaccine was deemed a
11 critical approach to the protection against lyme disease in the
12 United States.

13 A few words on the vaccine. And LYMERix was
14 developed to address the public health need. It is a non-
15 infectious recombinant vaccine developed by GSK Biologicals. It
16 contains the lipo protein OspA, which is an outer surface protein
17 of the organism, as expressed in e-coli.

18 Each half mil dose contains 30 micrograms of the L-
19 OspA absorbed onto a half a milligram of alum. And the primary
20 immunization consists of three doses of LYMERix given
21 intramuscularly at 0, 1, and 12 months in those aged 15 to 70
22 years.

23 Now to the historical perspective, and I have shown
24 in this slide, from 1993 where the pre-IND meeting, up until
25 launch in January of '99. The orange boxes, to make life easy to

1 review, is FDA meetings, and the green are reviews with the
2 VRBPAC.

3 The R&D was submitted in February of 1994, and the
4 VRBPAC was convened in June of that year to provide advice on the
5 overall development of the vaccine.

6 So that advice included a review of the lyme
7 disease information itself, and recommendations for pivotal
8 development. This included case definition, primary and secondary
9 pivotal study endpoints.

10 The requests for a two-year followup for safety and
11 efficacy, and the inclusion of patients with previous lyme
12 disease. Phase III plans were then, after agreement with CBER at
13 the end of phase II meeting, that is in December of '94, and
14 thereafter a two-year pivotal efficacy study commenced, Lyme-008,
15 it ran for the full two years, and included over 10,000 subjects.

16 So during the conduct of the pivotal trial there
17 was another VRBPAC meeting, and during this time more advice was
18 given. First on the basis for going forward with pediatric
19 development, and then further discussions, essentially, of
20 theoretical safety concerns, including the potential for L-OspA
21 vaccine to either exacerbate lyme disease pathology, to mask lyme
22 disease presentation and diagnoses, or to induce auto-immune
23 arthritis.

24 And you will see, from the subsequent talks, how
25 these elements were incorporated into the development plan.

1 Based on all the advice received, and the
2 demonstrated efficacy of the Lyme-008 study, the pre-PLA meeting
3 was held with CBER in January of '97, and the PLA/ELA was
4 submitted in September of that year.

5 During the review period Dr. Steere-Root published
6 their paper, presenting their hypotheses that OspA may be
7 responsible for TRLA. So when the VRBPAC met to consider the data
8 package for approval, this topic played a significant part of the
9 discussions at that time.

10 And at that time LYMERix was considered safe and
11 effective, and thereafter approval was gained in December of '98,
12 and the launch of the product was in January of 1999.

13 Moving on to the post-licensure period, GSK has
14 engaged in both specific commitments, as well as the standard
15 post-marketing requirements for safety assessment. These will be
16 addressed by Dr. Hoet.

17 First the commitment, it was already reviewed
18 briefly by Dr. Rohan, a post-marketing cohort safety trial was
19 initiated at Harvard Pilgrim. The study started about a year ago.

20 We have submitted three quarterly reports, but they do indicate a
21 rather low uptake of the vaccine at that center. And you will
22 hear what steps are put in place to address that.

23 The study on the cell mediated immunity, which was
24 also discussed previously, was conducted and submitted in December
25 of '99. And, finally, studies to assess safety in those of child-

1 bearing potential, were conducted.

2 First the repro-toxicity study in animals was
3 conducted, and the report submitted a year ago. And pregnancy
4 registry was established within the post-marketing surveillance
5 methods.

6 And then moving on to the post-marketing
7 surveillance, besides the usual reporting mechanisms, we had
8 introduced two additional measures at CBER's request.

9 The first was to expedite all reports of
10 musculoskeletal and neurological events, within 15 days,
11 regardless of seriousness. This would, normally, only serious
12 adverse events would be treated in this fashion. But special
13 attention was given to these adverse events of interest.

14 And, secondly, a letter was sent to investigators
15 of all completed and ongoing clinical trials which reinforced to
16 them the requirements for reviewing and reporting adverse events
17 from subjects who had been previously in those clinical trials.

18 And it also requested, over and above the normal
19 requirement, that all reports be reported regardless of
20 attribution, particularly if the patient was overly concerned, was
21 concerned about it.

22 So all regulatory activities and commitments are
23 completed and/or in place. And, as you will hear later, a review
24 of the post-marketing surveillance shows that the most frequently
25 reported adverse events involved reactogenicity with symptoms

1 already described in the product label.

2 But these reports from the post-marketing are such
3 that they allow us to did you, within certain individuals, that
4 symptoms occur concomitantly. And, secondly, very rare reports of
5 hyposensitivity have been received.

6 So, in conclusion to my talk lyme disease is a
7 vaccine preventable disease, the disease is still in the rise. It
8 is associated with chronic morbidity and sometimes permanent
9 sequelaeing.

10 Collaborations with CBER and the VRBPAC during the
11 last decade have guided the vaccine through development to
12 licensure. And I can say, upfront, before the talks, that to date
13 the available data from the post-marketing surveillance, the
14 commitments, and the additional clinical trials, are in keeping
15 with the pre-licensure safety profile.

16 So at this point I would like to turn over to Dr.
17 Yves Lobet, who will talk about theoretical considerations of
18 TRLA.

19 DR. LOBET: Thank you, Dr. Kahn.

20 Before we go into the presentation of the clinical
21 data, I would like now to address the theoretical concern raised
22 in the 1998 Advisory Committee meeting, that vaccination with OspA
23 could be responsible for the induction of treatment resistant lyme
24 arthritis, a condition that has been observed in a few lyme
25 disease patients.

1 This theoretical concern was raised after the
2 predication of the paper of Gross et al, which working hypotheses
3 I would like to present now.

4 One can summarize the hypotheses proposed by Gross
5 et al in three points. First, they proposed that treatment
6 resistant lyme arthritis is an autoimmune disease that could be
7 initiated after a natural infection by B burgdorferi.

8 Secondly, first reactivity between OspA and LFA1, a
9 protein present in some human cells, would explain the autoimmune
10 nature of the disease. Finally, HLA-DR4 individuals are at risk
11 of developing TRLA after natural infection.

12 Before going any further in the discussion, let's
13 see how this hypotheses translates in the natural situation.

14 When borrelia burgdorferi is injected by ticks in a
15 human body, it could migrate into various tissues. In some
16 individuals the bacteria will enter one or a few joints. At this
17 site it will initiate the disruption of an inflammatory process,
18 as observed also, when borrelia is present in other tissues.

19 The bacteria will also start expressing OspA when
20 in the joints. This molecule being present on the surface of the
21 spirochetes, an immune response is triggered against it.

22 In this process OspA specific t-cells are primed
23 and stimulated. This stimulation is the result of interactions
24 between the t-cells and fragments of OspA.

25 The nature of the sequence of this epitope vary

1 from individual to individual. And is defined by the HLA genetic
2 background of these individuals.

3 In the case of HLA-DR4 individuals, one of the
4 epitopes of OspA presents homologies with an epitope of LFA1, the
5 human protein.

6 Gross et al has shown that these two epitopes are
7 going to stimulate OspA specific cell lines. As a consequence,
8 after the disappearance of OspA, the FLA1 epitope would be able to
9 continue the stimulation of OspA specific t-cells.

10 This stimulation would contribute to the
11 perpetuation of the inflammatory response within the joint.
12 Provided that this information process could be, by itself,
13 responsible for arthritis, this would explain the long-lasting
14 disease observed in patients even after antibiotic treatment.

15 Next slide. This is the hypotheses presented by
16 Gross et al, and I would like now to discuss it and address the
17 following points.

18 There are some indications in this proposal, and I
19 would like to present them to you. Secondly, I will discuss with
20 you whether this hypotheses is applicable to vaccination with
21 OspA. And, finally, I will present shortly some results.

22 So, what are the limitations of this hypothesis?
23 First of all, the autoimmune nature of treatment resistant lyme
24 arthritis is still questioned. Indeed, not everyone agrees that
25 borrelia burgdorferi is absent from the affected joints of

1 individual of treatment resistant lyme arthritis.

2 If, indeed, despite antibiotic treatment borrelia
3 is still present in the joint, the mere presence of the bacteria
4 could explain the prolonged arthritis.

5 Secondly, the core of the Gross et al hypothesis,
6 that LFA-1 is the auto-antigen involved in the suspected
7 autoimmune treatment resistant lyme arthritis, is based on
8 sequence homology, and in vitro crossreactivities between this
9 molecule and OspA.

10 However, two recent publications have shown that
11 the demonstration of sequence homology and in vitro
12 crossreactivity between a foreign protein and an auto-antigen, is
13 not sufficient to conclude that an autoimmune disease will take
14 place. Other unknown elements have to be present to initiate an
15 autoimmune process.

16 The OspA LFA-1 crossreactivity, therefore, does not
17 demonstrate that OspA is responsible for the induction of
18 autoimmune disease. One should also remember that after
19 infection, when borrelia is in the joint, many proteins are
20 presented to the human immune system.

21 May I have shown that this -- that several of these
22 are morphologies and in vitro crossreactivities with human
23 proteins, and could therefore be responsible for a hypothetical
24 autoimmune reaction.

25 Finally, there is a discrepancy between the

1 restricted distribution of the symptoms, that is a few large
2 joints are affected by treatment in lyme arthritis, and the
3 universal presence of hLFA-1, that is present on lymphocyte in
4 inflammation sites.

5 Next slide. Even if the hypotheses of Gross et al
6 is confirmed in the future we do not believe that it applies to
7 vaccination. Indeed, as mentioned in the publication, there are
8 at least two requirements that are necessary for the development
9 of treatment of resistant lyme arthritis.

10 First, OspA is to be present in the joint. During
11 natural infection, indeed, this protein is expressed by OspA
12 within that tissue. However, there is no reason to think that
13 OspA migrate to that location after vaccination.

14 The second requirement is that for TRLA to develop
15 an inflammatory process, an inflammatory milieu has to be present
16 in the joint. Once again, we do not believe that this takes place
17 after vaccination.

18 There is, therefore, no reason to believe that
19 vaccination with OspA will reproduce the conditions identified by
20 Gross et al, required for the development of treatment of
21 resistant lyme arthritis.

22 Give me the next slide. Finally, I would like to
23 share with you results which we have obtained from C3H mice
24 showing that these experiments, that these requirements are indeed
25 not met after immunization with OspA.

1 This strain of mice is known to be susceptible to
2 the development of arthritis after infection with borrelia
3 burgdorferi. And we have confirmed this, in this experiment. We
4 have shown the presence of clinical arthritis 28 days after
5 inoculation with borrelia.

6 On the other hand, when C3H mice were vaccinated
7 with OspA, we found no sign of arthritis. Indeed, neither joint
8 swelling, nor signs of inflammation have been observed 28 days
9 after injection. Further, no OspA has been detected in the
10 analyzed joints.

11 The primary conclusions of the experiments are
12 that, indeed, OspA immunization does not create the environment
13 required for development of treatment resistant lyme arthritis.

14 Next slide. In conclusion, on the basis of both a
15 theoretical analysis of the treatment resistant lyme arthritis
16 hypotheses of Gross et al, and the results of clinical experiment,
17 we found no evidence supporting that vaccination with OspA will
18 initiate the development of treatment resistant lyme arthritis.

19 This observation has been reviewed and conclusions
20 agreed upon by a panel of independent experts in autoimmunity.

21 Finally, it should be noted that since 1998 no new
22 data has been published to further confirm the hypothesis of
23 autoimmunity treatment of resistant lyme arthritis.

24 Thank you for your attention, and we now leave the
25 stand for Dr. Francois Meurice, who will present you with the

1 clinical data that we have collected prior to licensure of LYMERix
2 including those indicating that no increase of incidence of
3 arthritis was observed in HLA DR4 vaccines.

4 CHAIR DAUM: Thank you very much, Dr. Lobet. I
5 would like to invite the committee at this time to ask questions,
6 and ask the speakers to allow me to introduce the next speaker
7 after you are concluded.

8 So, and also before we take too many questions, I
9 would like to inform the committee of something I didn't realize,
10 and that is that the slides for the sponsor's presentation were
11 put at your seat this morning.

12 So that might make note taking and following a
13 little bit easier. Dr. Fagget, I saw three hands. I saw lots of
14 hands. Okay, we will just go right up the row, here. Dr. Fagget?

15 DR. FAGGET: Thank you for a very eloquent
16 presentation of the previous speaker. Could, indeed, what we see
17 be a vaccine failure? Is that another possibility here in terms
18 of the arthritis?

19 DR. LOBET: Could this be a what?

20 DR. FAGGET: Vaccine failure, so that any
21 inflammatory process that was there was --

22 DR. LOBET: The clinical data will be presented by
23 Dr. Francois Meurice. Maybe it is better to discuss this after
24 his presentation.

25 What I addressed is, really, the theoretical

1 concern of the hypothesis, based on this hypothesis.

2 CHAIR DAUM: Could you revisit your question, Dr.
3 Fagget, when we get the clinical information?

4 DR. FAGGET: Yes.

5 CHAIR DAUM: Dr. Griffin, then Dr. Kim, Dr. Snider,
6 and Dr. Kohl.

7 DR. GRIFFIN: I am interested in your mouse
8 experiments with the C3H mice. And I have a couple of questions.

9 First of all, is it known whether the
10 susceptibility of C3H mice is due to an HLA class 2 determinant?

11 DR. LOBET: This experiment doesn't demonstrate or
12 infer or confirm the autoimmune nature of the disease.

13 DR. GRIFFIN: No, I'm just trying to -- I'm only
14 trying to identify how relevant the mouse experiments are to the
15 questions that we have in humans.

16 DR. LOBET: No, it is not thought to be, the
17 susceptibility is not thought to be related in special HLA typing
18 --

19 DR. GRIFFIN: Is it not?

20 DR. LOBET: No.

21 DR. GRIFFIN: And then I also have another
22 question, and that is with respect to whether, since the
23 development of autoimmune disease after, as a consequence of
24 infection is obviously an extraordinarily complicated process, in
25 the situations in which that is -- when the mechanisms even begin

1 to be understood.

2 Is there any evidence that if you take the mice
3 that have developed arthritis after infection, and then give them
4 OspA that you exacerbate the arthritis?

5 DR. LOBET: No.

6 DR. GRIFFIN: Those experiments have been done and
7 they are negative?

8 DR. LOBET: I should go back and check if these
9 experiments have been done, because --

10 DR. GRIFFIN: Because it is a little different than
11 just giving OspA, which was going to be presented --

12 DR. LOBET: Absolutely, fully agree.

13 DR. GRIFFIN: -- and everything, in a totally
14 different way.

15 DR. LOBET: Fully agree. But, again, in this case
16 we did not inspect autoimmune arthritis taking place in those
17 mice. What this experiment shows is really that the conditions
18 that are required, as they have been defined by Gross et al in
19 their paper, for the autoimmune disease to take place, are not met
20 after vaccination.

21 That is, the presence of OspA in the joints, and
22 the induction of an inflammatory milieu there. It doesn't address
23 the autoimmune nature of the disease.

24 CHAIR DAUM: But could you clarify Dr. Griffin's
25 question, Dr. Lobet, before we move on? And that is, are the

1 experiments done, and the answer is no, or is the answer --

2 DR. LOBET: The answer --

3 CHAIR DAUM: -- experiments not done?

4 DR. LOBET: The experiment has not been done the
5 way it has been presented.

6 CHAIR DAUM: Thank you. Dr. Kim, please?

7 DR. KIM: I think we have seen publications, and
8 also you indicated the mapping of OspA for HLA DR and LFA regions,
9 crossreacting areas.

10 Are there any information available about
11 protective epitope of OspA, whether that is overlapping with these
12 epitopes, or are there different regions of OspA?

13 DR. LOBET: The -- one of the properties of OspA is
14 that it overlaps three areas of the acetomino region of the
15 molecule, and does not overlap with this OspA crossreacting
16 epitope.

17 CHAIR DAUM: Thank you. Dr. Snider, Dr. Kohl, Dr.
18 Diaz, Dr. Estes.

19 DR. SNIDER: My questions were similar to Dr.
20 Griffin's, and it had to do with the C3H mouse model. The
21 questions were whether one hundred percent of the mice developed
22 the autoimmune arthritis after infection with borrelia
23 burgdorferi.

24 And whether, if not one hundred percent do, whether
25 giving OspA before or after the infection increased the frequency

1 of it, or if one hundred percent do, whether giving OspA before or
2 after the infection increased the severity of it?

3 And I guess, based on the answer I heard earlier,
4 there are no such experiments, but I would like confirmation.

5 DR. LOBET: Let me first repeat that this is not
6 autoimmune arthritis that has been induced in those animals. We
7 don't expect autoimmune arthritis to take place there.

8 This is, really, what we wanted to evaluate there
9 is whether the requirements defined by, in the hypothesis
10 presented by Gross et al, could be met after vaccination with
11 OspA.

12 Now, indeed, one hundred percent of the animals
13 developed arthritis after inoculation with borrelia.

14 DR. GRIFFIN: Can I just ask a follow-up, then?
15 Then I don't understand the relevance of the model. If there is no
16 autoimmune component to the lyme disease borrelia burgdorferi
17 induced arthritis in the mice, then I don't see how the -- giving
18 them the vaccine addresses the question.

19 DR. LOBET: One of the question that could be
20 raised after -- so the question is whether the vaccine could
21 induce autoimmune arthritis.

22 One of the requirements to induce such a disease,
23 as presented by Gross et al, is that you need to have both OspA
24 present in the joint, and that an inflammatory process takes place
25 there.

1 What we wanted to show in this model is that those
2 two requirements, I mean, we wanted to address whether those two
3 requirements could be met after vaccination with OspA. This is
4 independent of an autoimmune response.

5 So it means that if you have crossreactivity,
6 simply crossreactivity, either on the basis of sequence
7 homologies, or in vitro crossreactivities between t-cells, this is
8 not enough to explain the induction of an autoimmune process.

9 You need to have other requirements, such as an
10 inflammation process taking place at the location of this
11 phenomena. So what we wanted to demonstrate here is that those
12 requirements, necessary for the development of autoimmune
13 arthritis in humans are not met.

14 DR. GRIFFIN: But it could be done in any kind of
15 animal, or mouse. The C3H has nothing to do with it?

16 DR. LOBET: The C3H, the strain of C3H mice has
17 been used because we know that those animals are susceptible to
18 arthritis after infection.

19 DR. GRIFFIN: But it is not autoimmune?

20 DR. LOBET: No, it is not autoimmune. No, I fully
21 agree with you. No, we never said this is an autoimmune
22 phenomena.

23 CHAIR DAUM: Is the confusion here the word
24 autoimmune? That is to say, we have a model in which the organism
25 causes infection and arthritis.

1 DR. LOBET: And arthritis.

2 CHAIR DAUM: And so the question, then, is does the
3 vaccine cause arthritis in this model, any kind of arthritis. And
4 the answer, at least, is no?

5 DR. LUFT: I think the question is whether the
6 model is reflective of human disease or not.

7 CHAIR DAUM: That is a separate -- that is an issue
8 that needs to be discussed.

9 DR. LUFT: Yes, indeed. These animals do become
10 infective, and as an infectious model it works. If you try to see
11 whether a vaccine prevents infection, it could be a very fine
12 model.

13 But to try to understand the pathogenesis of human
14 disease, it may not be a very good model.

15 CHAIR DAUM: As is true of any animal model, it
16 always has limitations.

17 DR. LUFT: It has its limitations.

18 CHAIR DAUM: Let's hear from Dr. Kohl, please.

19 DR. KOHL: I think that is my point as well, it
20 doesn't seem to be a relevant model for treatment resistant
21 arthritis, or autoimmune arthritis.

22 DR. LOBET: I fully agree with you. I mean, this
23 is not an autoimmune model.

24 DR. KOHL: That is what I was saying. Now, the
25 arthritis gets better by itself, or gets better with antibiotic

1 treatment?

2 DR. LOBET: Excuse me?

3 DR. KOHL: In the mice, is the arthritis self-
4 limited, or does it respond to antibiotics?

5 DR. LOBET: It is self-limited.

6 DR. KOHL: It is self-limited. So it is totally
7 not related to what we are talking about, it seems.

8 CHAIR DAUM: Thank you. Dr. Diaz next.

9 DR. DIAZ: Thank you. I recognize that what you
10 were trying to show, obviously, has nothing to do with
11 interactions between the vaccine and autoimmunity in humans.

12 But at the same time commented that if you give
13 these mice OspA, that you have -- there is no detectable measure
14 of OspA in the joint, correct?

15 DR. LOBET: We haven't seen OspA in the joints.
16 Where we were able to detect it in the proximate muscles, where
17 there has been injected.

18 DR. DIAZ: In the mice that were given borrelia,
19 and developed arthritis, secondary to that infection, were you
20 able to detect borrelia in the joint, and OspA production in the
21 joint?

22 DR. LOBET: Those analysis are still ongoing. So
23 far we haven't seen OspA in this location. The reason being that,
24 one explanation to that, which we are still working on this
25 aspect, is that the number of spirochete going to the joint is

1 usually very small.

2 And we use a small amount of spirochetes, around
3 1,000 spirochetes, that have been injected not close to the joint.

4 So to make more closely the natural situation.

5 CHAIR DAUM: Thank you. Dr. Estes, Dr. Stephens,
6 Dr. Luft.

7 DR. ESTES: I have a basic question about the
8 organism. Are there different strains of this organism that have
9 different disease capability, whether it is in mice or in humans,
10 is that known?

11 DR. LOBET: There are some -- right now there are
12 some groups who have identified differences in strains that --
13 apparently different pathogenesis, pathologies, but this is really
14 ongoing work.

15 CHAIR DAUM: Thank you. Dr. Stephens?

16 DR. STEPHENS: I would like to just pursue a
17 different mechanism related topic. And that is, lipo-proteins are
18 known to be very potent stimulators of total receptors, for
19 example.

20 DR. LOBET: Yes.

21 DR. STEPHENS: Data that has come out, I guess,
22 since the vaccine was approved.

23 Do you have any information about the ability of
24 OspA, as a lipo-protein, to generally stimulate cytokine
25 production or other immune reactions?

1 DR. LOBET: It has been known for quite a long
2 time, since the early '90s, that OspA is able, by itself, to
3 induce both pro and anti-inflammatory cytokines. And there are
4 multiple papers addressing this point.

5 CHAIR DAUM: Thank you. Dr. Luft, then Dr.
6 Ferrieri.

7 DR. LUFT: Yes. I would just like to kind of take
8 up where Dr. Estes left off, about different strains. That the
9 LFA homology, I guess it was pointed out in that original paper,
10 seemed to be with OspA from borrelia burgdorferi sensu stricto, it
11 wasn't shared as to the same extent with OspA from other geno
12 species of borrelia.

13 Have you, or anyone in the company, immunized
14 others, patients in the United States, or in Europe, with these
15 OspA types of abscleri, or goreneri or animals? And have you
16 seen any differences in reactivity, or in any -- either laboratory
17 or clinical manifestations?

18 DR. LOBET: Yes, we have indeed vaccinated people
19 with goreneri and abscleri. We haven't seen any clinical or
20 laboratory differences between people immunized with sensu stricto
21 OspA only.

22 CHAIR DAUM: Dr. Ferrieri, please.

23 DR. LUFT: I would just like to --

24 CHAIR DAUM: Do you want to follow-up, Dr. Luft?

25 Okay.

1 DR. LUFT: And how large has that been, is it
2 something that we will be able to see in a statistical type of
3 manner, that there are no differences between that?

4 The question I really have, and it goes back,
5 actually, to what Dr. Stephens said as well. This whole LFA
6 business may be a red herring, but there may be a phenomenon that
7 occurs.

8 This is a very unique protein, it is a lipo-protein
9 that has -- that is very immunoreactive. Actually probably one of
10 the first lipo-proteins that have been injected into people as
11 part of a vaccine.

12 So there may be other phenomenon. And I think one
13 of the ways that we start to discern these differences is if we
14 see very similar types of material, whether it is from OspA, from
15 borrelia abscleri or goreneri, giving us same phenomenon that you
16 see with burgdorferi.

17 I think you can say this LFA thing, maybe that is a
18 red herring, because there are differences in the sequence in that
19 particular region. But we still have to deal with the lipidation
20 issue, which we haven't really focused on, for whatever reasons.

21 But, so, is it large numbers of patients, or is it
22 small numbers of patients?

23 DR. LOBET: Can you first clarify what phenomenon
24 you are relating to? I mean, what kind of analysis are you
25 referring to, that compares OspA sensu stricto to the other ones?

1 DR. LUFT: I just say clinically are there any
2 differences?

3 DR. LOBET: No, there is not.

4 DR. LUFT: And I'm just saying, do you have -- is
5 it -- do you have enough power, statistically are able to make
6 that answer in a way that really is with conviction and belief, or
7 is it something that says, we did a handful of patients here, and
8 a handful of patients there.

9 I just want to know how --

10 DR. LOBET: No, with several tens of patients, a
11 few hundred patients that have been vaccinated.

12 DR. LUFT: A few hundreds patients with the
13 different --

14 DR. LOBET: Yes.

15 CHAIR DAUM: Thank you.

16 DR. LOBET: Nothing particular were observed in
17 those as compared to what observed in the sensu stricto only
18 vaccinated patients.

19 CHAIR DAUM: Thank you, Dr. Lobet. I'm going to
20 call on Dr. Ferrieri for one last question, and then ask the
21 sponsor's presentation to continue.

22 We can return to these topics, we will have time
23 for discussion, and the committee is clearly been piqued by your
24 presentation, and that is a good thing. Piqued with interest.

25 Dr. Ferrieri, please.

1 DR. FERRIERI: Back to the mouse model, three very
2 brief points. What was the amount of OspA given to the mice, what
3 was the nature of your assay for OspA, was it Elisa, was it a
4 genetic assay, and what were the limits of detection of OspA in
5 your assay?

6 DR. LOBET: All right. We used one microgram of
7 OspA twice, which is what we use, usually, to raise the immune
8 response able to protect mice, and similar to what is observed in
9 humans.

10 OspA has been detected by chemistry. And at this
11 point we have not yet -- we have seen in the slide, this is still
12 ongoing work, and don't have yet the level of reduction of OspA,
13 the threshold of detection of OspA.

14 CHAIR DAUM: Thank you very much, Dr. Lobet.

15 Could we continue, then, with Dr. Francois Meurice?

16 DR. MEURICE: Thank you, good morning. My
17 presentation will address the LYMERix safety information that was
18 available for licensure.

19 I will start with a brief review of the clinical
20 data that were available for licensure, then I will give you
21 additional information on the safety which was collected from the
22 large pivotal efficacy study.

23 And I will touch on several areas of special
24 interest that were prospectively addressed in the development of
25 the vaccine, which are the influence of vaccination on lyme

1 disease manifestations; patients with previous lyme disease
2 history, autoimmune arthritis, HLA type, and the musculoskeletal
3 symptoms, as well as the neurology and cardiac events.

4 For phase 1 clinical studies were conducted in
5 Europe, essentially, to select the formulation of the vaccine.
6 And that is how lipo-protein OspA candidate was selected for
7 further development.

8 Among the phase 2 trials, two studies were of
9 particular interest and conducted in the United States. That is
10 lyme-005, which is a dose range placebo control study, where HLA
11 typing was performed, and 007 which addressed, especially, the
12 safety of the vaccine in patients with previous lyme arthritis.

13 Next. Most of the safety data, as was mentioned,
14 come from the pivotal efficacy study lyme-008, which was followed
15 up by the same cohort continuing for another year safety follow-
16 up.

17 Next one. So at the time of the BLA 16 studies
18 were either completed or ongoing, and the data were submitted on
19 about 6,500 subjects who had completed studies, and who received a
20 final formulation of the vaccine.

21 So I will not go into a lot of detail, since you
22 heard this in the previous presentation by Dr. Rohan, the pivotal
23 efficacy study lyme-008 was double-blind placebo control efficacy
24 study, including healthy individuals between 15 and 17 years of
25 age, from lyme endemic areas.

1 And the exclusion criteria, as were mentioned, are
2 listed here below.

3 So schematically in that study people received two
4 doses of vaccine one month apart, were followed up for full lyme
5 disease transmission season. A block sample was collected
6 systematically in everyone, at the end of the season, and at month
7 12 the third injection was given.

8 People were followed up in the double blind manner
9 until the end of the transmission season at months 20 the last
10 blood sample was collected. However, as I said, lyme-013
11 continued the follow-up of this cohort, and the data that were
12 reviewed in the BLA covered up to month 24.

13 I think you had information about how the adverse
14 events were collected in that study, both as unsolicited adverse
15 events, and we clarified those occurring with an early onset, or
16 with a late onset.

17 A subset of the cohort, about 900 subjects had
18 diary cards to collect solicited symptoms during the first four
19 days after vaccination. And since this was an efficacy study,
20 symptoms suspect for lyme disease were obviously collected in a
21 very aggressive manner, and these were also combined with the data
22 base of adverse events, whenever lyme disease was not confirmed.

23 So as far as unsolicited adverse events occurring
24 within 30 days, we had injection site reactions, mostly pain. And
25 among the general symptoms, which were statistically significant

1 in the vaccinees, we had fever, influenza-like symptoms, myalgia,
2 chills and rigors.

3 For the unsolicited symptoms with onset more than
4 30 days after any dose there was no statistical differences
5 between placebo and vaccinees. Also looking at adverse events
6 after successive doses of the vaccine, there was no increase in
7 the reactogenicity after the following doses.

8 In terms of local and general solicited symptoms,
9 we again had the local symptoms at the injection site, we had
10 several flu-like symptoms including fatigue, and arthralgia, a
11 rash was also observed.

12 There was no statistical difference for headache or
13 for fever. And the mean duration of the general solicited
14 symptoms was one to eight days, depending on the symptoms, with a
15 range of 236 days.

16 Serious adverse events were according to the
17 classical definition. On top of this in that study pregnancies
18 and arthritis or arthralgia lasting for more than 30 days were
19 recorded in a similar manner, to have a good follow-up, in real
20 time, about what is occurring for this specific symptom.

21 We had 581 vaccinees, and 586 placebos reporting
22 serious adverse events. When looking at those by body system
23 there was no statistical difference. There were 14 of them in the
24 vaccine group, and 15 in the placebo recipients, which were
25 designated as related or possibly related to the vaccine, and no

1 deaths were attributable to the vaccine.

2 So the safety conclusions, as far as unsolicited
3 AEs was onset less than 30 days. There were more reactions in
4 vaccinees and in placebo, that was not the case for those
5 unsolicited AEs with onset more than 30 days after vaccination.

6 In terms of solicited AEs there was a very high
7 reporting rate of adverse events, both in vaccinees and in placebo
8 groups. Since you see at least 82 percent of the placebo group
9 reported at least one symptom.

10 Don't forget that this was a very scrutinized
11 follow-up. Soreness was the most common local symptom, headache
12 and fatigue were the most common systemic symptoms, and less than
13 5 percent of the solicited symptoms were rated as severe.

14 Finally, in terms of serious adverse events, as I
15 said, no difference between vaccine and placebo.

16 Now I will touch on a few areas of special interest
17 which were identified at the VRBPAC before we started the study.

18 The first one is the influence of vaccination on
19 lyme disease manifestations. What we could conclude from this
20 trial is that we saw no interference with the ability to confirm
21 the lyme disease diagnosis by culture, PCR, or western blot.

22 The vaccination provoked no mask, no attenuation or
23 alteration of the clinical presentation of lyme disease. There
24 was no increase in the rate of asymptomatic infection. Actually
25 the vaccine was highly protective.

1 Again, these cases, 83 percent in the first year,
2 100 percent in the second year, against asymptomatic infection.

3 There was no effect, in particular, on the duration
4 of the erythema migrans, and no influence on the management of the
5 treatment of the breakthrough cases in vaccinees.

6 A second area of special interest are the subjects
7 with previous lyme disease. And in particular we wanted to answer
8 the question: Do subjects with previous lyme disease have more
9 symptoms than those who did not have previous lyme disease?

10 We assessed lyme disease histories in two ways, one
11 was in patients self-reporting lyme disease, and the other one was
12 by a more objective criterion, which was western blot positivity
13 at baseline.

14 Next. Looking at adverse events in subjects self-
15 reporting previous lyme disease, in general for these symptoms, as
16 was mentioned before, vaccinees with a history of lyme disease
17 reported more symptoms for these categories than vaccinees with no
18 history of lyme disease.

19 Next. This was generally seen also in the placebo
20 group with one exception, which was early musculoskeletal symptoms
21 for which, in that case, placebo recipients with history did not
22 report more of those symptoms than those with no history.

23 If we look at the figures we can see that, in
24 general, these are the details, and the importance, the
25 statistical importance of the differences are pointed here.

1 Now, when looking at the more objective way of
2 assessing previous lyme disease, which is western blot positive at
3 baseline, we didn't see these differences. So there was no
4 increase in any of these symptoms in those subjects.

5 And, again, here are the detail data if you want to
6 refer to it.

7 So in summary patients with self-reported lyme
8 disease, in those we saw an increased incidence of AEs in both the
9 vaccinees and the placebo recipients. One exception to the above
10 was seen for the early musculoskeletal adverse events, where this
11 increased incidence was not seen in the placebo recipients.

12 The western blot, while it showed that nature and
13 incidence of any of those adverse events did not differ between
14 the western blot positive at baseline, and the western blot
15 negative at baseline, be it in vaccinees or in placebo subjects.

16 So western blot confirmed previous lyme disease had
17 no impact on the safety profile, and probably the previous self-
18 reported history has not, either.

19 What about induction of autoimmune arthritis?
20 First of all, looking at the general incidence of arts in that
21 study, there was no difference in terms of the incidence rate in
22 vaccinees of placebo, be it cases of arthritis with onset within
23 less than 30 days after any dose, or within more than 30 days
24 after any dose.

25 We did prospectively address HLA typing and

1 musculoskeletal symptoms in two studies. So this is, obviously,
2 in line with what was discussed by Dr. Lobet previously,
3 specifically the HLA-DR4 individuals who could be at higher risk
4 of developing treatment resistant lyme arthritis after natural
5 infection, this increased with vaccine or not.

6 In Lyme-005 most of the subjects in that study,
7 more than 300, were tested for the HLA-DR4 and two types. As you
8 can see, about a third of the population involved in the study was
9 DR4 positive.

10 We had four cases of unspecified arthritis in that
11 study. One in the placebo group was DR4 positive, and one in
12 vaccine group was also DR4 positive. The two others were
13 negative.

14 Another attempt to clarify this issue was done in
15 Lyme-008, where two subsets of subjects were analyzed. In the
16 first subset 85 consecutive samples at one site were collected in
17 41 vaccinees and 44 placebo recipients, and a similar HLA profile
18 was seen in vaccinees with, versus without pain or inflammation at
19 the injection site.

20 A second subset looked at the problem by the other
21 way, and identified twelve subjects from the entire study
22 population with unexplained arthritis or tendinitis.

23 For nine out of those twelve HLA typing was
24 available. One out of the four in the vaccine group was HLA-DR4
25 positive, and one out of the five of those subjects in the placebo

1 group was DR4 positive.

2 So in conclusion we didn't find any evidence, from
3 these two studies when we did HLA typing, but there was a link
4 between vaccination and the development of musculoskeletal or
5 inflammation symptoms.

6 Finally, neurology and cardiac events. Reviewing
7 those cases, no difference was seen in any of the neurologic or
8 cardiac events between placebo and vaccinees. And I should remind
9 you that this large study was carefully monitored by DSMB, all
10 these adverse events of interest, especially rheumatology cases,
11 and neurology cases, were carefully reviewed by a panel of
12 experts.

13 So in conclusion, a large body of safety data was
14 available, was accrued prior to licensure, and this revealed an
15 acceptable safety profile in the clinical trials, although we did
16 see moderate reactogenicity with this vaccine.

17 There is no clinical evidence, including from the
18 HLA typing that was done, supporting the theoretical concerns.

19 Finally, vaccination demonstrated efficacy in
20 definite cases, and asymptomatic cases of lyme disease. Therefore
21 LYMERix was considered safe and effective, and was approved for
22 the prevention of lyme disease.

23 Thank you very much.

24 CHAIR DAUM: Thank you, Dr. Meurice. I will take a
25 few questions from the committee before we move on. Dr. Estes,

1 Dr. Fagget next.

2 DR. ESTES: Could you tell me what is the
3 predictive value of the western blot for diagnosing previous lyme
4 disease?

5 DR. MEURICE: I don't know the answer to that
6 question. I guess what we did in the study was, indeed, to look
7 systematically at western blot at months 12 and 20 in all
8 subjects, and those which were positive we went back to baseline.

9 The same thing when patients came up with symptoms
10 of lyme disease we had western blot taken. For all those cases
11 which came up with other symptoms like erythema migrans which was
12 the most common, we also performed biopsy, and look at culture,
13 and PCR.

14 The culture and PCR were able to detect an
15 additional 15 to 20 percent of the cases which were not detected
16 by western blot sera conversion. That is the indication I can
17 give.

18 DR. ESTES: Does anyone else know the answer to
19 that? Does the western blot --

20 DR. DATTWYLER: I am on the CDC serology committee,
21 and that is not known. I mean, it is certainly the positive
22 predictor value is not one hundred percent by any means.

23 The other thing that should be mentioned is that
24 the ability of this vaccine to confuse the diagnostics is a real
25 problem, and that there are publications now stating that in

1 vaccinated uninfected individuals, that you can get false positive
2 western blots by CDC criteria.

3 CHAIR DAUM: But, Dr. Dattwyler, the question that,
4 at least I think I hear Dr. Estes asking, is about the
5 presentation. And that is to say that people who believed they
6 had lyme disease before were stratified into two groups. One
7 self-reported and one had western blot positivity. Presumably
8 some time remote from when they actually had the lyme disease.

9 So the question is, among lyme experts such as
10 yourself, what do you think of that stratification? I think that
11 is the real question.

12 DR. DATTWYLER: It is not unreasonable. The
13 difficulty with immune response it depends on how long after
14 you've been successfully treated, and the timing of the infection.

15 If one is treated very early for erythema migrans, and you don't
16 develop a mature immune response, then your western blot is
17 negative.

18 On the other hand if you develop full-blown lyme
19 arthritis, and you have been successfully treated, you may remain
20 sera positive for years afterwards.

21 So it is a rather difficult issue, and you have to
22 stratify by the stage of the disease, and when it was treated, and
23 how it was treated.

24 CHAIR DAUM: Thank you very much. I have Dr.
25 Fagget next, and then Dr. O'Fallen.

1 DR. FAGGET: Yes. In your conclusion you state 78
2 percent efficacy for definite cases of lyme disease, correct? And
3 one hundred percent asymptomatic.

4 DR. MEURICE: Correct.

5 DR. FAGGET: Also you stated that there is no mask
6 attenuation, alteration of clinical presentation of lyme disease
7 with vaccination, correct?

8 DR. MEURICE: Correct.

9 DR. FAGGET: So, indeed, could TRLA be vaccine
10 failure? I go back to my previous question.

11 DR. MEURICE: Well, we carefully looked at the
12 breakthrough cases in that study, obviously. And looking at their
13 clinical features there was really no difference with the cases
14 that were observed in the placebo group. So the clinical
15 manifestations were identical, and the treatment of those cases
16 was not more complex.

17 DR. FAGGET: My question, though, is relative to
18 treatment resistant lyme induced arthritis.

19 DR. MEURICE: We have not seen any case of
20 treatment resistant lyme arthritis.

21 DR. FAGGET: Well, over what time period did you
22 look at the subjects?

23 DR. MEURICE: We looked for two years of follow-up.

24 DR. FAGGET: Thank you.

25 CHAIR DAUM: Thank you, Dr. Fagget. Dr. Kohl --

1 DR. MEURICE: -- actually an additional year of
2 follow-up the same cohorts continued safety follow-up for an
3 additional year.

4 DR. COYLE: I just wanted to get clarification on
5 the group in your pivotal study that was said to have prior lyme
6 disease.

7 I'm assuming that the group that was classified
8 retrospectively based on the western blot, when they first came
9 in, that was just an IgG western blot, correct, no one was
10 counting IgM?

11 DR. MEURICE: Well, there was an IgM western blot
12 if ever they presented with symptoms suspect of lyme disease, but
13 then the baseline was, indeed, IgG western blot.

14 DR. COYLE: In the patients who self-reported that
15 they had had the lyme disease, that particular group, was there an
16 attempt to verify that, or to classify them by the prior syndrome,
17 was that probably EM physician reported, or is that simply -- was
18 there any breakdown of prior arthritis, neurologic, or was that
19 simply taken at face value?

20 DR. MEURICE: No. We wanted to do it the largest
21 possible way, so anyone who was self-reporting lyme disease we
22 didn't ask for medical records, we didn't go through.

23 DR. COYLE: So was any investigation done of the
24 basis for what the patient reported their syndrome was, or not?

25 DR. MEURICE: Well, the symptoms were collected as

1 part of the medical history of those subjects, but we didn't do
2 any stratification based on that.

3 DR. COYLE: So there was no breakdown, you have no
4 idea how many that was EM, they said I have been treated for EM,
5 or I have been treated for neurologic?

6 DR. MEURICE: No.

7 CHAIR DAUM: Thank you. I have Ms. Fisher, Dr.
8 Luft, and Dr. O'Fallen.

9 MS. FISHER: I just want to make sure I understand.
10 Is it SmithKline Beecham's position that those who receive
11 LYMERix vaccine, and then have symptoms of arthritis, myalgia, and
12 other signs of deterioration in health following vaccination, and
13 those who have had lyme disease, and those who have the DR4
14 allele, that they should be vaccinated with this vaccine?

15 DR. MEURICE: Yes.

16 DR. LUFT: Thank you.

17 CHAIR DAUM: Dr. Luft, please?

18 DR. LUFT: I just wanted to ask a question about
19 the -- to go forward with the whole issue of whether these might
20 be actual treatment failures.

21 It appears that from the data that you presented
22 that there was no difference in the signs of symptoms in those
23 patients who had, in other words, vaccine failure. And so that
24 they probably -- do you have a serologic correlate of that?

25 And have you applied to see whether those patients

1 who develop the -- have you gone back to look at the original sera
2 of those patients that go on to develop these treatment related,
3 or whatever TRLA -- I don't even know what that is, treatment
4 resistant, whether they had been vaccinated, and they did not have
5 protective levels of antibody?

6 Do you understand what my question is?

7 DR. MEURICE: Well, I guess you are asking about
8 the patients with difference in musculoskeletal symptoms, whether
9 they had different titers than the subjects who did not develop
10 those symptoms, is that what --

11 DR. LUFT: And especially in those who go on later
12 to develop this, what is called TRLA, treatment resistant
13 something.

14 DR. MEURICE: Well, as I said, we did not observe
15 TRLA in this study. So we did have, as was mentioned, for the
16 symptoms with early onset after vaccination, a higher proportion
17 of vaccinees who had musculoskeletal symptoms, than in the placebo
18 group.

19 But for those system occurring late, that is more
20 than 30 days after vaccination, there was no difference, be it in
21 the duration, or the manifestations of the musculoskeletal
22 symptoms, comparing the vaccinees to the placebo.

23 DR. LUFT: And is there a good serologic
24 correlation to protection?

25 DR. MEURICE: Well, we have made a proposal, and

1 this is under discussion with the Agency.

2 CHAIR DAUM: Dr. O'Fallen, please, and Dr. Kohl,
3 and Dr. Kim.

4 DR. O'FALLEN: Somewhat related to Dr. Coyle's
5 question. When was the self-reported lyme disease determined, was
6 that prior to randomization?

7 DR. MEURICE: That was at study entry, as part of
8 the medical history of each subject. So, yes, prior to
9 randomization.

10 DR. O'FALLEN: You quoted arthritis rates and
11 compared observed in the two groups. Did you compare those
12 arthritis rates to expected rates from, say, population
13 epidemiologic studies, or something like that?

14 DR. MEURICE: So your question is about the rates
15 of arthritis in that study that are compared to what are the
16 expected rates in the population?

17 DR. O'FALLEN: That is correct, you compared your
18 treated groups, your treated and your placebo group, and I'm just
19 asking if you compared either of those rates to that which would
20 be expected in a normal population.

21 DR. MEURICE: Well, overall, if we look at all
22 cases of arthritis, we had four percent of the subjects reporting
23 arthritis, and that was 4.5 percent in the vaccinees, and 4.1
24 percent in the placebos.

25 What we have looked at is the sex/gender

1 distribution for these cases, which was, if you look at a female
2 to male sex ratio 4.8 to 1, whereas in the global population of
3 the subjects, we have a global sex ratio of 0.7 to 1.

4 So a little bit more arthritis cases in the female
5 population than in the male population, which is probably in
6 accordance with the general population. But I don't have other
7 rates.

8 DR. O'FALLEN: I guess I will take your answer as
9 no.

10 CHAIR DAUM: Dr. Kohl, please.

11 DR. KOHL: I forgot my question.

12 CHAIR DAUM: Senior moment.

13 DR. KOHL: I'll come back.

14 CHAIR DAUM: We all have them, Steve. I don't want
15 you to feel bad.

16 (Laughter.)

17 CHAIR DAUM: Dr. Kim, please.

18 DR. KIM: Your data was presented in terms of the
19 incidence. Can you elaborate, or was there any information on the
20 severity of the symptoms and signs?

21 DR. MEURICE: Yes. As I mentioned the severity was
22 defined as interfering with daily life activities. And depending
23 on the symptoms it was from zero to five percent, I think
24 essentially five percent was observed for pain at the injection
25 site.

1 And in general, I believe we can go back to the
2 data, but it was two or three percent of serious cases in the
3 musculoskeletal symptoms in general.

4 CHAIR DAUM: Thank you.

5 DR. MEURICE: That was similar in both placebo and
6 vaccinees.

7 CHAIR DAUM: Thank you. We will take a question
8 now from Dr. Kohl. And then we will break for coffee.

9 DR. KOHL: This is for our experts. Do we have a
10 handle on what the incidence of treatment resistant lyme arthritis
11 is, and a good definition of that? After natural infection, of
12 course.

13 CHAIR DAUM: Would one of the experts like to take
14 that on? Dr. Dattwyler?

15 DR. DATTWYLER: I see a lot of patients, and I must
16 say that treatment resistance lyme arthritis in our center is low,
17 it is very rare. We see maybe one case a year.

18 And, you know, that is using very strict criteria,
19 saying that the person had, you know, CDC criteria for sera
20 positivity, good history, and usually is monoarticulate knee
21 arthritis.

22 And under those circumstances we usually try to do
23 synovial examinations, synovial fluid examinations, and then if
24 possible synovial tissue biopsies, and try to PCR the organism.

25 And we have not been able to PCR the organism in

1 that type of arthritis, but we have found PCR positivity in the
2 more classic lyme arthritis cases.

3 So I think there is a differential between the
4 individual who has an infectious arthritis, and this other form of
5 arthritis. And I think that is what Dr. Steere has pointed out.
6 He has a larger interest in rheumologic cases than I do, and has a
7 greater cohort of this type of patient. But I think it is
8 similar.

9 CHAIR DAUM: Dr. Dattwyler, the number of one per
10 year, of course, is helpful. It would be a little more helpful if
11 you gave us some sense of how often you make diagnosis of lyme
12 disease. This is one out of two, one out of 100, one out of
13 1,000?

14 DR. DATTWYLER: That come to our center?

15 CHAIR DAUM: Yes. You said you see this once a
16 year.

17 DR. DATTWYLER: Well, first of all, the most people
18 that come and think that have lyme disease don't have it. You are
19 talking about -- we have similar experiences as everybody else,
20 that only about ten to fifteen percent of the people presenting
21 with what they feel is lyme disease really have it.

22 Under the -- to give you an example, and I think
23 this is from Dr. Steere's work, he published a paper a number of
24 years ago on arthritis from rheumatism comparing different oral
25 regimens for lyme arthritis.

1 It took him, and this is -- had multiple practice
2 sites in there, it appeared to take him about four years to
3 acquire about 40 lyme arthritis patients for that study.

4 So I think the incidence of lyme arthritis, in
5 general, has decreased markedly and concomitantly the incidence of
6 treatment resistance has decreased.

7 The percent, I would say, is about 5, to 10, to 1
8 what we see. So for every person with this other phenomenon,
9 whatever it is, versus infectious arthritis, you are talking about
10 we see maybe 5 or 10 people with infectious arthritis for
11 everybody.

12 And we are a referral center, so we are getting the
13 tough cases.

14 CHAIR DAUM: Thank you very much. One final
15 comment.

16 DR. LUFT: Just about that point. I don't think
17 there is any real data. And I think it goes along with a lot of
18 infectious diseases, or inflammatory diseases, in which there is
19 no aetiology known, you know, whether you have an encephalitis,
20 most of those you don't know what the aetiology is, maybe some of
21 them can be one type of bacterium or another.

22 It is the same thing with arthritis. There are
23 patients that come in and we don't have any ediology whether it
24 turns out to be some organism or not, we don't know.

25 CHAIR DAUM: Thank you very much. It is coming up

1 on 10:40. We will break and resume at 10:55 exactly. Thank you.

2 (Whereupon, the above-entitled matter went off the
3 record at 10:40 a.m. and went back on the record
4 at 11:00 a.m.)

5 CHAIR DAUM: I hope we are feeling nourished and
6 nurtured. I call the committee meeting back to order, please.
7 And we will resume with the sponsor's presentation. Can we get
8 everybody's attention, please, we are in session.

9 Dr. Bernard Hoet will be the next speaker on behalf
10 of the sponsor.

11 DR. HOET: Good morning. As introduced by Dr. Kahn,
12 I will review the post-licensure safety assessment, and I would
13 like to address three following topics.

14 Next slide, please. So first I will present the
15 post-licensure commitments, and leave the work to Dr. Platt, who
16 will especially speak about the phase 4 study. And then I will
17 present the findings of the passive post-marketing surveillance,
18 and briefly afterwards, review the additional clinical trials, and
19 especially the safety aspects of those, the types that have been
20 performed since licensure of the vaccine.

21 At the moment of licensure we were performing the
22 study on cellular immunity which was to be reported as post-
23 licensure commitment. And this study has shown that there is no
24 evidence of association between vaccination and the incidence of
25 inflammatory arthropathy.

1 We were also requested to perform reproductive
2 toxicity study in rats, which showed that there was no maternal or
3 fetal toxicity in these animals.

4 We were requested to establish a pregnancy history,
5 that has been established, and no unexpected findings have been
6 reported to date.

7 And then a safety assessment cohort study has been
8 set up by Dr. Richard Platt, who is professor at the Harvard
9 Medical School. And I would like to ask him now, to come and
10 present the status and the current results of his study.

11 DR. PLATT: Good morning. I appreciate the
12 opportunity to discuss with you this work in progress, which we've
13 been at for about two years.

14 The primary objective of this study is to evaluate
15 whether exposure to lyme vaccine is a risk factor for new onset
16 inflammatory arthropathy.

17 The secondary objectives are to evaluate whether
18 exposure is a risk factor for a variety of other outcomes,
19 including lyme disease, treatment resistant lyme disease
20 rheumatoid arthritis, a variety of neurologic conditions, from
21 allergic events, and death.

22 The study design is a prospective cohort study
23 among HMO members who are immunized as part of their routine
24 medical care. I should emphasize that there is no active
25 recruitment for this study, we are merely observing the practice

1 as it is carried out among these HMO members.

2 The vaccinees are identified through the automated
3 claims data, and automated medical records of the managed care
4 organization. We also identify a comparison group of non-
5 recipients who are matched to the vaccine recipients by age, sex,
6 and the medical practice where they receive their primary care.

7 And we perform passive and uniform surveillance
8 which will last for at least four years that involves several
9 steps. The first is screening of automated in-patient and out-
10 patient claims for diagnosis which suggests outcomes of interest,
11 followed by expert review of full text medical records for those
12 who have suggested diagnosis. And, finally, we will link the
13 entire cohort to the national death index.

14 Let me tell you, for a moment, why HMOs are good
15 environments in which to do studies like these. But most
16 important, I think, is that it provides an opportunity to observe
17 the safety of vaccine in this case, under conditions of usual
18 practice involving populations that aren't selected in any
19 particular way.

20 HMOs have a considerable amount of information
21 about their members, about the health care that they receive, and
22 about their health status. And with effort it is possible to link
23 those records together to obtain relatively complete and largely
24 passive surveillance for outcomes of interest.

25 This passive surveillance has the advantage of

1 avoiding many of the kinds of bias that are problematic in other
2 types of surveillance studies.

3 Because of this there are a number of epidemiologic
4 studies that are grounded in HMOs. And I list here three examples
5 of those. They are all ones in which this HMO, that is the home
6 of this study is a participant.

7 They include the multicenter CDC vaccine safety
8 data link study, the Centers for Education and Research and
9 Therapeutics, that are sponsored by the Agency for Health Care
10 Research and Quality, and FDA, and the NIH sponsored Cancer
11 Research network.

12 The setting for the study has been the Harvard
13 Pilgrim Health Care, which is a not-for-profit major teaching
14 affiliate of Harvard Medical School.

15 The HMO is a joint sponsor with the medical school,
16 the department of ambulatory care and prevention, which is
17 responsible for the conduct of this study. All of the research
18 conducted by this department is in the public domain.

19 Starting this year two additional HMOs will join
20 the study. They are health partners in Minnesota, and a health
21 plan in Massachusetts. We recruited these two additional sites
22 because at the end of the first year it was clear that our
23 recruitment was less than we had expected it to be.

24 And at the time that we did this solicitation these
25 were the only HMOs of which I'm aware which were both capable of

1 participating, and willing to do this.

2 Let me tell you a little about the investigators.
3 I'm the principal investigator, I'm a professor at Harvard Medical
4 School, and the principal investigator for the Harvard Pilgrim
5 site of this CDC vaccine safety data link. I'm also the principal
6 investigator of an FDA cooperative agreement to study adverse drug
7 effects.

8 And I'm the overall principal investigator for the
9 HMO research network CERT. The co-investigators in this work
10 include Dr. Arnold Chan, who is appointed at the school of public
11 health in Harvard Medical School, and who is here today; Dr.
12 Alexander Walker at the Harvard School of Public Health.

13 I would classify the three of us loosely as
14 pharmaco-epidemiologists. Dr. Matthew Lang and Nancy Shadick of
15 Harvard Medical School are rheumatologists who have interest in
16 the epidemiology of lyme disease.

17 The rules and responsibilities for the study are
18 listed here. We've developed this protocol in concert with the
19 sponsor, with a considerable amount of input from FDA. The
20 sponsor has been responsible for all of the interactions with FDA.

21 We investigators have complete responsibility for
22 all of the research activities. That includes data gathering,
23 data analysis, and report writing.

24 Finally we, we the investigators, own and control
25 the data, have contractual authority to use the data as we see

1 fit, including publication when we think that is appropriate.

2 The time line for this study is shown here. As you
3 know the vaccine was licensed at the beginning of 1999. We signed
4 a contract to conduct the study in the spring of 1999, and the
5 protocol was completed in the middle of 1999.

6 That protocol specified that new vaccinees would be
7 recruited for two years. We submitted an interim report in the
8 middle of 2000 that listed the vaccinees and all of their ICD-9
9 codes, including those both before and after they had received
10 their first dose of lyme vaccine.

11 A second interim report added the control, or non-
12 immunized individuals, and the third report submitted at the end
13 of last year divided those ICD-9 codes into those that had been
14 assigned, first assigned before immunization and those that were
15 first assigned after immunization began.

16 The protocol was amended at the beginning of this
17 year. A number of broader aims were added. And, in addition, the
18 recruitment period was extended for another year.

19 As I mentioned to you, HMOs will join shortly.
20 When they do, I should mention that when they do, all of their
21 data, since the beginning of 1999 will become available.

22 Our next report will be due in March, and it will
23 have the beginnings of the full text record reviews for
24 individuals who have ICD-9 codes of interest. There will then be
25 interim reports every six months until the study ends in 2005.

1 And in 2004 we will do the linkage to the National Death Index.

2

3 We characterize the vaccinees in the following way.

4 We identify them from automated claims files looking for CPT
5 codes that -- the CPT code that indicates lyme vaccination.

6 We believe that this is a relatively complete
7 ascertainment because the providers are only reimbursed for the
8 cost of vaccine and immunization if they submit this code.

9 Among those for whom we find the code we restrict
10 the population of those who are continuous HMO members since
11 January of 1999. We identify all of their diagnosis code for the
12 three years before vaccination, or for as long as they have been
13 members if it is a shorter period than that.

14 And then for each of the interim reports that we
15 submit we identify all of their interval immunizations and all of
16 their new diagnosis codes assigned since the preceding report.

17 As I mentioned we do blinded review of the medical
18 records that have codes of interest. The controls are identified
19 in a three to one ratio for each vaccinee.

20 We match on, as I mentioned, on practice, on
21 gender, and on approximate age, using the same restrictions for
22 continuous membership in the HMO.

23 We assign a referent date to each control since the
24 vaccination date of the case to whom the individual is matched.
25 And then we do exactly the same kind of case finding, by looking

1 for diagnosis codes before and after immunization, updating those
2 for each interim report, and doing the blinded reviews.

3 We have determined that the immunization codes are
4 highly accurate. A review of a random sample showed that 99
5 percent of the automated claims have supporting data in the
6 clinician's full text record, indicating that the individuals
7 were, in fact, immunized when the automated record says that they
8 were.

9 And in addition we are confirming immunization
10 status for all the records that are reviewed.

11 We confirm new events of interest by screening both
12 in-patient and out-patient records for diagnosis codes, and then
13 obtain the full text ambulatory record that matches that event.

14 There is a first level review by a chart extractor
15 to eliminate events that clearly are not of interest, for
16 instance, trauma, for instance clear statement that there is
17 crystal arthropathy.

18 The charts for which there is no clear alternative
19 explanation are reviewed by a rheumatologist, either Dr. Lang or
20 Dr. Shadick, using a standardized abstraction form, and we are
21 assessing the inter observer variability of our chart extractors.

22
23 Our analysis plan calls for us to compute incident
24 rates and rate ratios to do that both accrued measure, and to
25 stratify it by a number of potential risk factors. We intend to

1 asses the dose response relationship.

2 We will use multi-varied analysis principally
3 proportional hazards, methods, but we will also use poisson
4 regression to take into account any crossover of individuals who
5 are initially assigned to the control population, and who
6 subsequently become immunized.

7 And we will explore for unanticipated potential
8 adverse effects by assessing the frequency with which codes are
9 assigned to at least five individuals in the vaccine group.

10 The study size was set at 25,000 vaccinated, and
11 75,000 non-vaccinated individuals on the basis of two basic
12 parameters. The first was an interest in finding approximately a
13 two-fold excess risk of these conditions, and an assumption, or a
14 guess, that the baseline rate would be approximately 2 per 10,000.

15
16 I have to tell you that there is no baseline data
17 for this particular population. And so this was, we thought, a
18 reasonable guess. But we are prepared to see either higher or
19 lower incidence rate.

20 Our preliminary rates are these. Through the first
21 half of 1999 about 2,500 individuals were immunized. Through the
22 next year an additional 1,100 were immunized. The third interim
23 report shows this 3,600 figure.

24 In our comparisons we compare to the 2,500, and
25 we've done that because there is a reasonably long lag time in the

1 maturation of a claims data base before we are certain that it is
2 complete.

3 And so we have held off on doing the comparative
4 analysis for the additional 1,100 until we are satisfied that we
5 have a complete claims data base.

6 About 2,800 of these individuals are recorded to
7 have had two or more doses. These are the counts of the
8 individuals who have had the assignment of one of the screening
9 codes for a rheumatologic or musculoskeletal diagnosis that is
10 first assigned after the first vaccine, or after the vaccine dose,
11 or the referent day.

12 You can see that approximately 8 percent of both
13 vaccinees and comparators have had one of these codes assigned. We
14 intentionally chose a broad array of codes to be potential
15 indicators, because we wanted to be sensitive in our first round
16 of identification of potential cases.

17 One estimate of potential severity is to look at
18 individuals who are hospitalized with one of these new
19 rheumatologic codes. And the results are shown here, it is one of
20 the vaccinees and seven of those in the comparison group for rates
21 that are well under, for proportions that are well under one
22 percent.

23 Let me emphasize that these medical records have
24 not been reviewed yet, so these are numbers based just on
25 assignment of diagnosis codes.

1 Our preliminary conclusions are these. First that,
2 I believe, the premise is correct, that HMO based record linkage
3 is able to identify vaccinees reliably, and that the first
4 assignment of these diagnosis codes is approximately equally
5 common in vaccinees and in comparators.

6 Most of these don't represent outcomes of interest.

7 It will be necessary for us to do the chart review to identify
8 new onset codes of interest. We expect the first part of those
9 chart reviews to be included in our fourth interim report, which
10 is due in March, and to have the substantial bulk of the ones that
11 we now know need to be reviewed, done by the time of our September
12 report.

13 Our current plan is to continue the existing
14 protocol and to bring these two new HMOs on line during this year.

15 As I mentioned, all of their data, since the vaccine was
16 introduced, will be available when that happens.

17 We don't know how many vaccinees we will have
18 recruited in the three HMOs by the end of this third year. It is
19 possible that we won't have 25,000.

20 In that case I think that there are two strategies
21 that could be considered. One is to use the data that we will
22 have at the end of the third year to recompute the power and
23 confidence limits, because by that time we will have substantial
24 information on baseline, on the baseline rates of the events that
25 we care about, and we will have a good idea of the sample size.

1 If we need to recruit additional subjects then,
2 once again, there are two possibilities. One is to extend the
3 recruitment period, the other would be to identify an additional
4 HMO collaborator.

5 We will be entirely willing to do that. I do want
6 to tell you, again, that we made a fairly thorough search for
7 environments in which it would be possible to extend the
8 recruitment.

9 And as of very recently there were no additional
10 sites that appeared to be appropriate for that purpose. The sites
11 that -- that is because one would need sites that are in endemic
12 areas that are using the vaccine, and have a history of doing
13 research like this, and are willing to commit their resources to
14 the study.

15 And we have found no other potential collaborators
16 at this moment. That may change in the next year, however.

17 That is where we stand now. I would be happy to
18 answer questions either now or later, as you like.

19 CHAIR DAUM: I think we will take a few questions
20 now.

21 Before we begin the questions, though, I would like
22 to point out that this committee needs to be sure they deliberate
23 the issues at hand in the best possible environment.

24 And therefore I would ask that people who have cell
25 phones that keep going off, beepers that keep going off, please

1 turn them off now so that they don't continue to disrupt the
2 proceedings.

3 We will now take committee questions. I have Ms.
4 Fisher, Dr. Fagget, Dr. Manley, and Dr. Griffin, and Dr. Stephens.

5 And, of course, our two consultants on the other side. I used to
6 be able to remember ten things at once, and now it is more
7 limited.

8 So we will just go, and we will get everybody to
9 have a turn.

10 MS. FISHER: I assume there was exclusion criteria
11 for those participating in the study. Did you include people who
12 had had previous lyme disease, who had been vaccinated and had
13 reactions, or would appear to be arthritis type reactions
14 afterwards; did you exclude people who were sick at the time of
15 vaccination; those with a history of autoimmune disorder in the
16 family, what was your criteria?

17 DR. PLATT: Remember this is a passive study. That
18 is we are reporting all of the vaccine experience of the -- so --

19 MS. FISHER: But you would have, I assume, for
20 informed consent purposes, when you enroll people, and you did use
21 -- at first you said that there was no active recruitment. And
22 then later you said that there was recruitment.

23 And so you must have had some informed consent that
24 was signed by those who were vaccinated. Was there an exclusion
25 of certain categories of individuals?

1 DR. PLATT: I'm sorry if my second statement was
2 misleading. There was no active recruitment, there was no special
3 notification to providers, or to members of the HMO that there was
4 any interest in doing a study.

5 So we are observing the use of vaccine as the
6 several thousand providers, and million plus members of the HMO
7 chose to use and receive it.

8 So the data I'm showing you are all of the
9 experience. It will be possible, after the fact, to go back and
10 comment on what proportion of the individuals who are immunized
11 had a prior diagnosis of lyme disease, but they are all in the
12 data that I'm showing you.

13 MS. FISHER: You have not answered my question.

14 DR. PLATT: I'm sorry about that.

15 MS. FISHER: About those who are vaccinated, was
16 there an attempt to exclude certain categories of individuals? In
17 other words, those who had a history of autoimmune disorders in
18 the family, or personally; those who had had previous adverse
19 reactions to perhaps other vaccines; those who were sick at the
20 time of vaccination, etcetera?

21 DR. PLATT: Those decisions would have been made by
22 the primary care practitioner who was caring for the individual.
23 There was no study protocol that governed this. No one was
24 immunized because of this study.

25 So my second use of the term recruitment was not

1 meant to indicate that there was any attempt to encourage
2 individuals to be immunized. So there was no informed consent,
3 because this was routine medical care that was delivered.

4 So if providers chose to exclude individuals on the
5 basis of the criteria that you mentioned, then they would have
6 done that, and we wouldn't see those people.

7 MS. FISHER: Absolutely affects the outcome of your
8 study. It affects it because you don't understand what the
9 history is. I mean, there had to have been some informed consent
10 here in terms of which individuals were enrolled.

11 I would think that before vaccination took place
12 the individuals would have to --

13 CHAIR DAUM: Ms. Fisher, I think the question has
14 been asked and answered, there was not informed consent. And
15 whether there should have been, or could have been, would have
16 been, is something the committee is welcome to discuss.

17 DR. GRIFFIN: This is a licensed vaccine, it
18 doesn't require informed consent for a licensed vaccine, right?

19 CHAIR DAUM: I am not sure that is a correct view.
20 But the point is that there wasn't. Dr. Fagget, please.

21 DR. FAGGET: Dr. Platt, had you finished your
22 answer?

23 DR. PLATT: I'm sorry?

24 DR. FAGGET: Had you finished?

25 DR. PLATT: Yes.

1 DR. FAGGET: My question is relative to
2 underreporting. As a former HMO medical director I'm well aware
3 that a five to seven minute visit does not give, really, time in
4 many cases, for that primary care physician to really pick up
5 subclinical arthritic conditions, and things like that.

6 Also you have already mentioned that claims data is
7 definitely require medical record review in order to verify.

8 DR. PLATT: Yes.

9 DR. FAGGET: So my question is, do you have a feel
10 for how much time your HMO practitioner has to spend on each
11 patient, and are you comfortable that in this -- yes, HMOs are a
12 good source, but is the visit adequate to give you what you need
13 in terms of a really comprehensive ICD-9 diagnosis?

14 DR. PLATT: I'm sure the HMO would tell you that
15 there is ample time for a thorough evaluation. But I take your
16 point that claims data do not provide the same depth of
17 information as a structured interview does. We just have to
18 understand that.

19 So the evidence that I can bring to you are two
20 pieces. One is, in the follow-up interval that has been
21 available, eight percent of vaccinees have had a new diagnosis of a
22 code that we consider to be an indicator code.

23 So there are lots of people who have codes
24 assigned. And the second is I think that to the extent that
25 conditions are severe ones, they are likely to be more reliably

1 captured.

2 DR. FAGGET: Will you breakout the category of
3 primary care provider, nurse practitioner versus physician, versus
4 PA, will you have that information?

5 DR. PLATT: I don't have it now, I will have to
6 check on whether we can find it for you.

7 DR. FAGGET: This is preliminary, right, what you
8 are reporting today is preliminary?

9 DR. PLATT: This is the first two years of a seven
10 year proposition.

11 CHAIR DAUM: I have Dr. Manley, Stephens, Goldberg
12 and Davis. Dr. Manley, please.

13 DR. MANLEY: Thank you. My question is related to
14 one of the earlier questions. You've explained about the fact
15 that this was not a proactive study, there was no enrollment,
16 though you did use the word recruitment several times.

17 But I'm wondering about the pregnancy registry.
18 You stated there is no evidence, to date. What can you tell us
19 about the pregnancy registry, are there patients that have been
20 assigned to that registry, are there numbers, any information at
21 all on where we are?

22 DR. PLATT: Right. This study is not linked to
23 that pregnancy registry, so I would look to one of the sponsors.

24 DR. MANLEY: But the data you are collecting so
25 far, at the HMO, if a pregnant woman did receive immunization

1 would you be able to tell us, at this point, that that had
2 happened, and how many times it might have happened?

3 DR. PLATT: It is knowable, we haven't done that
4 yet.

5 CHAIR DAUM: Okay. Dr. Stephens?

6 DR. STEPHENS: I think this is an important study
7 and hopefully we will learn some very valuable lessons. My
8 questions concern enrollment, and the lower than expected rate of
9 enrollment.

10 Can you comment on why you think that is, is that
11 imply because the vaccine is not being given, or is it a reporting
12 issue of individuals being vaccinated?

13 And the requirement for continuous participation of
14 the HMO, do you have drop out factor excluding from the study?

15 DR. PLATT: I'm fairly confident that the reason is
16 because the vaccine hasn't been -- I'm reasonably confident that
17 we are finding the vaccine that has been given in the HMO.

18 And the, as I said, we are observing what
19 clinicians and patients decide to use. The vaccine is what the
20 HMO calls a covered benefit, so there is no economic disincentive
21 to use the vaccine.

22 I do not think that we have been losing individuals
23 because of enrollment issues. That is, most of the -- there is
24 attrition in membership, but we are following individuals until
25 the time that they disenroll.

1 So disenrollment wouldn't eliminate anyone, because
2 we would merely censor their observation.

3 CHAIR DAUM: Can you give us just a sense of
4 turnover of your HMO population?

5 DR. PLATT: Our HDAS figure is 14 percent.

6 CHAIR DAUM: Per year?

7 DR. PLATT: Yes.

8 CHAIR DAUM: Dr. Goldberg, please.

9 DR. GOLDBERG: A couple of questions, and some of
10 this follows on what Dr. Fagget asked before. You are reviewing
11 only the codes of interest in these reviews.

12 Have you done any sampling, or have you any
13 procedures to review, other records that aren't among vaccinees in
14 controls that don't show these codes of interest to see what the
15 underreporting might be?

16 And to follow on that, have you trained or informed
17 all of the physicians who see these patients in what you are
18 looking for, in a more active way, even though the patient aspect
19 of it is passive?

20 And then thirdly, do you have a data safety monitor
21 in process that is organized and doing the blinded review, and
22 then summarizing the data in some preplanned way?

23 DR. PLATT: I'm old enough that three things is
24 going to be hard to keep in mind.

25 DR. GOLDBERG: You can take them one at a time.

1 DR. PLATT: We are reviewing only records that have
2 a code of interest. We develop, I think by a consensus process, a
3 very broad list of codes that includes things that we didn't
4 really believe that clinicians would assign if an individual had
5 an outcome of interest.

6 And in choosing that very broad list of codes we
7 made a decision that the yield in the group that weren't included
8 would likely be low enough that it would not be a fruitful search.

9 We are entirely open to other kinds of sampling.
10 But we have to be careful about making decisions about how to do
11 that sampling in an informative way.

12 Because if we think of the background occurrence
13 rate is 1 in a 1,000, and people who don't have one of those
14 codes, then we would have to review several thousand charts to
15 find one.

16 So the second question was, how did we -- what did
17 we -- how did we inform the clinicians. And we didn't inform the
18 clinicians. That was a design feature of the study to, in large
19 measure, to avoid potential reporting biases to look at the
20 diagnoses that clinicians chose to assign as part of their routine
21 medical care.

22 And, finally, we have a -- if I understand your
23 third question properly, we have a very well specified process for
24 the reviewing of the charts, and the recording of the events that
25 we find.

1 That has been -- was that your third question?

2 DR. GOLDBERG: That was part of it. The other part
3 was, is this being reviewed on a routine basis, you know, in some
4 format that one can see the changes over time?

5 DR. PLATT: Right. Our periodic reports, which
6 have been quarterly and now are every six months, each include a
7 sort of a full update. So it is both incremental data and
8 cumulative results.

9 So each of those reports there is an opportunity to
10 do that comparison.

11 DR. GOLDBERG: Can I just ask one follow on
12 question? On the -- you said that you are not required, you
13 haven't trained the physicians to really asses this.

14 Do you have some idea of how physicians do report,
15 how many diagnoses do they report at a given time, is it related
16 to the severity? If the patient has a severe illness of another
17 kind, and then they also are complaining about these lyme
18 symptoms, or whatever, would that be recorded?

19 And do you have any substudies to asses this sort
20 of thing, so that you could characterize your reporting
21 mechanisms?

22 DR. PLATT: It is the nature of these claims files
23 that they can report up to three diagnosis at a visit.

24 CHAIR DAUM: I have Dr. Davis, Griffin, and Luft.
25 Dr. Davis?

1 DR. DAVIS: Thank you. My question has to do with
2 the consistency of using codes, since you are going to be bringing
3 on two more HMOs. Do you have a method of assessing the
4 consistency of the use of codes across the HMOs?

5 DR. PLATT: We can look at the frequency
6 distribution of use of codes and stratify that by age and sex,
7 that would give us the best sense of that.

8 We have done several other collaborative studies
9 with these HMOs, and have found it could be, the data to be
10 reasonably homogenous across the HMOs for the kinds of exposure
11 outcomes that have been of interest in other pharmacoepidimiology
12 studies.

13 CHAIR DAUM: Dr. Griffin, please.

14 DR. GRIFFIN: I am really following up on the
15 question that Dr. Stephens asked, because I'm interested in the
16 enrollment problems, and how much that is going to continue to
17 hinder this study.

18 Because I think it is really an important study to
19 get the kind of information that the committee, and probably
20 everybody else is interested in.

21 So you had many fewer patients that enrolled sort
22 of in the second, or two six months than you did in the first six
23 months, which is maybe what you would expect with a new vaccine,
24 you have sort of a buildup of people who wanted it.

25 So I have two questions. One is, is there any just

1 sort of general idea of why the vaccine has had a much lower
2 uptake than one would have, perhaps, what you anticipated,
3 obviously in this HMO.

4 And, second, is there any idea, ballpark idea, of
5 how many doses have been given in the two other HMOs that you are
6 bringing on line?

7 DR. PLATT: I honestly don't have an expert
8 explanation for the rate of use of the vaccine. The other two
9 HMOs, when we have the data from those other two HMOs, we expect
10 to have between two and three times the total that we have now.

11 Which would mean a total of somewhere between 7,000
12 and 9,000 through two years of follow up.

13 DR. GRIFFIN: There is probably no reason to think
14 in the third year that that will dramatically increase in
15 frequency, that there will be an incremental additive number of
16 individuals. It sounds like you are going to have a hard time
17 getting 25,000, I guess.

18 DR. PLATT: We can predict equally well. There is
19 really no information on that.

20 CHAIR DAUM: I have Dr. Luft, Dr. Kohl, Dr.
21 O'Fallen. Dr. Luft, please.

22 DR. LUFT: Conceptually I love this approach
23 because it uses computers, it is a lot of data that you can go
24 through.

25 But I think one of the issues, you know, coming

1 from the point of view of the department chair of ICB-9 codes as
2 to what is the purpose of those codes from the physician's point
3 of view, and that is for billing.

4 This is the way, and what you do is you try to --
5 you look at diagnosis and you put in as complex of the issues as
6 possible in order to be able to get as high of a level of care,
7 and that is the incentive.

8 So the incentive from the physician's point of view
9 is a financial thing that they have to represent, it is not to
10 look for subtleties.

11 And I think that there may be a problem in what
12 your readout is, as a result of that, especially you try to get
13 three diagnosis. If I have someone who comes in with congestive
14 heart failure, renal disease, diabetes, and joint pain, you will
15 see where the first three, the complex disease will be first, and
16 then joint pain will, myalgia or whatever, won't ever make it up
17 there.

18 The other thing that most of these -- because I'm
19 constantly dealing with my docs regarding billing to get them to
20 fill out their billing sheets, is that they do what is easiest.

21 They are not going to look at the long list, they
22 do what they have some facility at knowing. So, for instance, if
23 they single out hypertensives, etcetera, and they could quickly
24 write down those ICD-9 codes, they just do that.

25 It is not even that they will go in and look for

1 the subtle diagnosis, or the things that are out of -- and I think
2 those are two, you know, I'm just kind of -- in some ways I just
3 love this stuff, because it is just, like I said, it is reams of
4 data, and you are able to compare it.

5 But I'm not sure what the acquisition of the data
6 is as accurate as you want. And that is basically it.

7 CHAIR DAUM: Thank you.

8 DR. PLATT: I agree with all of the above, and that
9 is why I would never publish a result, or suggest to the committee
10 that it make conclusions on the basis of ICD-9 codes alone.

11 We use the ICD-9 codes as a very rough strainer to
12 find the records. Among the thousands of people who are
13 participants in the study, we need to find the hundreds whose
14 charts need to be reviewed. And that is the purpose of using the
15 ICD-9 codes.

16 And we trust the clinicians to get at least the
17 right body system, organ system in their diagnosis codes. And if
18 they don't do that then we will have missed these outcomes.

19 CHAIR DAUM: We are going to take questions or
20 comments from Drs. Kohl, O'Fallen, and Diaz, and then we are going
21 to ask Dr. Kahn to wrap up the sponsor's presentation. Dr. Kohl?

22 DR. KOHL: I took my Ginko Balboa so that I can't
23 remember my questions. I have two questions.

24 CHAIR DAUM: I was going to make a comment, and I
25 decided that we have been friends for a long time.

1 DR. KOHL: In the summary we received as handout
2 material labeled Synopsis of LYMERix Phase IV Observational Study,
3 it states: While no obvious patterns are present, and I'm
4 paraphrasing here, data suggests a higher incidence of
5 rheumatological conditions among vaccinees than non-vaccinees.

6 Was that referring to the 8.5 versus 7.5 percent,
7 or are there other higher --

8 DR. PLATT: I'm sorry, I don't know. I'm aware of
9 no other data that suggests that there is a higher rate of
10 assignment of these codes.

11 DR. KOHL: Because you said they were similar,
12 about 8 percent, and the handout says there is a higher --

13 DR. PLATT: One is eight and a half percent and the
14 other is, I think, 7.8 percent.

15 DR. KOHL: Okay, and that is what you are referring
16 to, okay. Because you modified your conclusion a little bit.

17 The second question gets back to what I think is a
18 concern among committee members. And I'm going to push you a
19 little harder, and that is recruitment of vaccinees.

20 It seems very slow, and if what Dr. Griffin said is
21 true, it seems that possibly there was a bulk of people who wanted
22 a vaccine, and now there is a fall off, although it is possible
23 there were documents who didn't want to use the new vaccine to
24 begin with, and now there will be an increased utilization as they
25 feel more comfortable.

1 And it is possible that a hearing like this will
2 make people less comfortable, and docs less comfortable, and there
3 will be a gigantic fall off.

4 Do you have any idea what is going on? Because I'm
5 concerned, where a year and a half or so, post-licensure, having
6 mandated this kind of study, and it doesn't look like we are
7 getting it very quickly.

8 And if there is a real problem out there, this is a
9 question that needs to be answered with some timeliness. So give
10 us a feeling for how quickly this is going.

11 DR. PLATT: I can't give you a sense of what the
12 recruitment will be. I do think that by the end of this year,
13 with the addition of the data from the new HMOs, we will likely be
14 at two to three times the number of individuals, and at that point
15 my view is we will have real information about the relative risk
16 of these outcomes.

17 The tyranny of power calculations is such that very
18 large increases of numbers buy you a relatively small increase in
19 precision. So a study that is half the size, in fact, will have
20 pretty good power to exclude a relative risk of three, as opposed
21 to a relative risk of two that we are talking about.

22 I'm not suggesting that the study be scaled back.
23 But, in fact, even though -- I won't use the word recruitment, is
24 slower than we expected, in fact there will be substantial
25 information available, I think, by the end of the year.

1 DR. KOHL: But we have been told, so far, that this
2 is a very rare condition. So rare that we don't even have an
3 incidence number for treatment resistant lyme arthritis. And I'm
4 concerned that the study is not going to be powerful enough, maybe
5 even at 25,000, but if you scale it back further, that is a real
6 concern.

7 CHAIR DAUM: Dr. Kohl, what I think we should do
8 here is not push Dr. Platt further on this point, but rather raise
9 this important issue when we have more general discussion with the
10 sponsor, and with our FDA colleagues, because they have a lot of
11 input as to how the study is conducted.

12 And Dr. Platt may have a limit to what he can
13 accomplish within the context of his one, two, or even three HMOs
14 in terms of enrollment.

15 And I'm going to suggest that we use the word
16 enrollment rather than recruitment, because I think we are getting
17 some unnecessary juice here in response to the word recruitment.

18 Enrollment is what you are doing, really, at least
19 as I understand it.

20 We had Dr. O'Fallen, and Dr. Diaz. And then we
21 will move on.

22 DR. O'FALLEN: My primary point was very eloquently
23 expressed by Dr. Kohl. I think we have a serious problem of
24 enrollment. And I agree that is the proper word.

25 You all anticipated, obviously, 25,000 in two

1 years. You are optimistically telling us that the addition of,
2 let's pick on Minnesota, where the disease is not as endemic as it
3 is in Massachusetts, I can't believe that the enrollment is likely
4 to be as big there as you are anticipating, either.

5 And then we have the potential bias, if you can
6 only list three ICD-8 codes that the doctors who gave the vaccine
7 will be more likely to list those codes, than we will find in the
8 controls.

9 And so we will have to be trying to sort a lot of
10 that out, too. So I'm seriously concerned about the study as
11 well.

12 CHAIR DAUM: Thank you. Dr. Diaz, please.

13 DR. DIAZ: I think I'm the third or fourth in line
14 with very similar question, and it has to do with this question
15 about enrollment. And this question could be answered now, or
16 later during the discussion.

17 But I think if the study is designed to look at
18 safety as it is used in the general population, then we will, at
19 some point, need to have some information about what the practices
20 are of physicians who are giving the vaccine to these individuals,
21 ie, are they offering the vaccine to everyone equally, or are they
22 selectively offering the vaccine based upon subsets of patients
23 and concerns about safety issues?

24 CHAIR DAUM: Do you want to respond to that? Or I
25 think you already have.

1 DR. DIAZ: I'm curious if anyone has -- I guess the
2 question is, then, does anyone, either you or the sponsor, have
3 information about physician practices with this vaccine,
4 currently?

5 DR. PLATT: There are, so far, there are
6 approximately 250 practices that have immunized someone who is
7 included in the results that I've shown you. And they have, we
8 guess, a couple of thousand providers.

9 The HMO communicates to those providers in a very
10 general sort of way, providing the CDC guidelines for use of
11 vaccine. That is the information that has officially moved back
12 and forth in this provider group.

13 CHAIR DAUM: Thank you very much, Dr. Platt.

14 Now, can I get a sense, from the sponsor, of how
15 much more time they need? I thought we were down to our final
16 speaker. How long does Dr. Hoet need?

17 DR. HOET: I have seven slides, and then there will
18 --

19 CHAIR DAUM: I think we can handle that. Let's go
20 as quickly as we can through this, if you would, please.

21 DR. HOET: Thank you. Thank you, Dr. Platt.

22 The vaccine is now on the market since two years,
23 and 1.4 million doses have been distributed. And to date 984
24 adverse events have been reported to the company, until November
25 30th.

1 And what has been observed is that the only
2 reactogenicity profile that had been reported during the clinical
3 development, and that is presenting information of LYMERix
4 occurred to -- it is confirmed.

5 And that some of the symptoms that are reported in
6 prescribing information of LYMERix appear to occur concomitantly
7 with an early onset after vaccination. Also hypersensitivity have
8 been reported very rarely.

9 The slide here compares the adverse event reported
10 during the post-marketing surveillance with the adverse events
11 that were reported during the clinical development.

12 And in the left column here you see the adverse
13 events that have been reported during the efficacy study to occur
14 statistically significantly more frequently in the vaccinated
15 group, as compared to the placebo group.

16 And on the right side you see the ten most
17 frequently reported adverse event in the passive post-marketing
18 report. And these adverse events reported through the post-
19 marketing surveillance are very similar to those reported on the
20 label.

21 Next slide, please. In view of the theoretical
22 concern faced regarding the risk of inducing autoimmune arthritis
23 after lyme disease, all the cases of arthritis or rheumatoid
24 arthritis have been analyzed.

25 And up to September 25th of last year 70 cases have

1 been reported. And an in-depth review of the data show that there
2 is no evidence that incidence is higher than in the general
3 population, no practical or clinical pattern was identified, and
4 no clustering time to onset was observed.

5 We do not consider that the arthritis cases
6 reported in the post-marketing surveillance are associated with
7 vaccination. However, as part of our continuing effort to
8 address the theoretical concerns, we are convening a panel of
9 experts to independently review this data. And this is ongoing.

10 Now, since licensure of the vaccine several
11 clinical studies have been performed, or initiated. Firstly in
12 the older population where cohorts of the efficacy study have been
13 followed up, and secondly in the pediatric population.

14 And I will now give you the available safety data
15 of these studies. In the blue box here you see the results that
16 were available at the moment of licensure. First you have the
17 Lyme-008 efficacy study that enrolled 10,936 individuals randomly
18 allocated to placebo or vaccine.

19 And that lasted with a follow up of 20 months.
20 This study, as explained earlier, was followed up by a safety
21 follow-up of four months, and these are the data that are
22 available in the file.

23 And then most of the vaccinees of this study have
24 been participating to a long-term follow-up for an additional
25 year, and this is approximately 5,000 subjects, and 352 have

1 participated to booster studies.

2 The majority of the placebo cohorts has also been
3 included in further clinical studies, and have received the
4 vaccine.

5 Approximately 4,400 out of them have received the
6 vaccine according to the license schedule. And somewhat less than
7 1,000, according to alternative schedules.

8 And 550, 1,550 of those subjects have participated
9 to further booster studies. Out of the 4,400 subjects having
10 received the vaccine, according to the license schedule, 3, 578
11 participated to a crossover part of the efficacy study, for which
12 I will show you preliminary results in a moment.

13 Next slide. So this was an open label study with
14 crossover vaccination of the placebo recipients of the Lyme-008.
15 3,578 subjects, the schedule was the one that is licensed for the
16 moment.

17 And there was an unsolicited adverse event
18 reporting by a safety postcard. Similar to the pivotal efficacy
19 study the most frequently reported adverse events were injection
20 site pain, myalgia, arthralgia, and influenza like symptoms.

21 So two alternative schedules have been studied,
22 namely 0, 1, and 6 months that was compared to the classical 1, 1,
23 12 months in 400 subjects per group, and the 0, 1, 2 plus 12
24 months, versus a 0, 1, 12 month in 500 subjects.

25 In addition, approximately 3,800 subjects

1 participated to booster studies, receiving up to six doses of
2 vaccine in total. Regarding the pediatric population 4,000
3 subjects age 4 to 18 years participated in these studies, out of
4 which 3,000 received LYMERix according to the 0, 1, 12 month
5 schedule.

6 In all those studies the nature and the frequency
7 of the adverse events were similar to the pre-licensure clinical
8 trial experience.

9 In addition to the more than 6,000 subjects that
10 have been vaccinated before licensure of the vaccine, more than
11 8,000 subjects have received a vaccine in the course of clinical
12 studies since licensure.

13 And so safety data has been collected, in
14 controlled settings, on more than 14, 000 vaccinees to which the
15 number of the cohort studies can be added.

16 In conclusion of regarding the licensure
17 commitments, the post-licensure commitments, the study on cellular
18 immunity showed no evidence of association between vaccination and
19 incidence of inflammatory arthropathy, no maternal or fetal
20 reproductive toxicity was seen in rats, and the pregnancy registry
21 has been established, and no unexpected observations were made.

22 And the cohort study to asses the safety of LYMERix
23 show enrollment lower than expected due to the low vaccination
24 rates of the search population. No difference was, however,
25 observed in the event codes between vaccinees and the control

1 group.

2 The post-marketing data have shown that the most
3 frequently reported adverse events involved reactogenicity with
4 symptoms already described in the product label.

5 These symptoms, these reports show that in certain
6 individuals these symptoms are described as occurring
7 concomitantly. Hypersensitivity has been reported very rarely in
8 post-marketing surveillance, and the arthritis cases observed in
9 the post-marketing surveillance are not considered to be
10 associated with vaccination.

11 Clinical studies involving more than 8,000 vaccinees
12 confirm that the safety profile observed during the development of
13 the vaccine is --

14 CHAIR DAUM: Thank you, Dr. Hoet.

15 DR. HOET: And now I will --

16 CHAIR DAUM: I think I will now ask Dr. Kahn to
17 show her conclusion slide, and then I will take Dr. Hoet and
18 Kahn's presentation together for a few questions.

19 DR. KAHN: Thank you. Just one conclusion slide,
20 an overall conclusion.

21 In conclusion now we have shown you safety
22 experience in excess of 18,000 subjects in a number of controlled
23 settings. Again, 1.4 million doses have been distributed in the
24 marketplace.

25 All of the data accrued since licensure concern the

1 safety of profile defined at the time of licensure, and in
2 particular we should confirm here that there were no cases of TRLA
3 in any of our control trials extensions or, indeed, in the post-
4 marketing surveillance.

5 As for all vaccines GSK is committed to continuing
6 the safety assessment in collaboration with the agency.

7 Thank you, that is the end of GSK.

8 CHAIR DAUM: Thank you to the sponsors for their
9 presentation.

10 We have time for a couple of questions on Dr. Hoet
11 and Dr. Kahn's last comments. Dr. Kohl, Dr. Griffin.

12 DR. KOHL: I appreciate the presentation by the
13 manufacturers. I'm sure, due to shortage of time, we could not
14 see specific data on some of the last studies presented.

15 My question is, does the FDA have that data for the
16 post-licensure studies, in order to be able to scrutinize the
17 specific side effects of the vaccine?

18 DR. KAHN: For many of these downstream
19 indications, where we have clinical trials, there are supplements,
20 indeed, under review. And for that reason we can allude to the
21 them because we have the empirical safety data to look at, but we
22 can't really comment specifically, because they are -- it would be
23 unwarranted at this time, otherwise.

24 DR. KOHL: Under review in the company, or under
25 review at the FDA?

1 DR. KAHN: At the FDA.

2 CHAIR DAUM: Dr. Griffin, please.

3 DR. GRIFFIN: That may be the answer to my
4 question, too, because I was wondering what you meant by
5 hypersensitivity. If this is an immediate hypersensitivity, sort
6 of a delayed type hypersensitivity, or --

7 CHAIR DAUM: Dr. Stephens, then Dr. Coyle, then --
8 I'm sorry.

9 DR. HOET: In the post-marketing settings some
10 immediate hypersensitivity has been observed.

11 CHAIR DAUM: Thank you. Dr. Stephens?

12 DR. STEPHENS: Do you have experience with this, or
13 related vaccine, in Europe?

14 DR. HOET: Well, we are currently working in
15 analyzing the possibilities of developing lyme vaccines in Europe,
16 also.

17 DR. STEPHENS: Do you have clinical trials ongoing
18 in Europe?

19 DR. HOET: There are phase II trials ongoing in
20 Europe at the moment.

21 DR. STEPHENS: Phase II trials.

22 CHAIR DAUM: Dr. Estes, please.

23 DR. ESTES: You summarized that you had studies on
24 cellular immunity, where there was no evidence of an association
25 between vaccination and inflammatory reactions.

1 Did you show us that data, the cellular immunity
2 studies? Because my recollection was that the summary from the
3 FDA is that that data was limited, and that final conclusions
4 could not be made.

5 Am I correct in that?

6 DR. KAHN: Perhaps I can call on Dr. Montagne to
7 answer that question.

8 DR. MONTAGNE: Well, actually I'm from R&D, I'm not
9 sure it is needed to go into the details of the data. But indeed,
10 as has been presented by the FDA this morning, indeed this is a
11 primary report, for which the first purpose was to see if there
12 was some sort of to different peptides, to the OspA and to the
13 different peptides.

14 And we can't conclude, because of the background,
15 to any significant, both hemologically and statistically
16 significant difference. However, what we just can see is that
17 there is some lympho proliferation against some peptides.

18 And, for example, we confirm that, indeed, some
19 TDR4 allele are used to present some peptide, as expected, just as
20 expected. I don't know if you want to see the real data.

21 DR. ESTES: I think that is okay, I just wanted to
22 confirm that the conclusions that we heard from the FDA this
23 morning, that the study was limited, was a little different than
24 the conclusion on your slide.

25 DR. MONTAGNE: On top of that, on top of the

1 immunological data, what is true is that there was no correlation
2 between the clinical picture and those data. So those data are
3 confirmed how some peptides can induce some proliferation in
4 association with some DR allele, and especially with DR4.

5 But what is interesting is that, indeed, there was
6 no correlation between these data, this lympho proliferation in
7 individual patients, and some clinical picture.

8 CHAIR DAUM: Dr. Coyle, did you have your hand up
9 before? Dr. Coyle, then Dr. O'Fallen, and then we need to move
10 on.

11 DR. COYLE: I wanted to ask you about the
12 concomitant symptoms that have been identified post-marketing,
13 which I think in the report have been about 183 patients, which
14 would be about 20 percent.

15 Do you have, is there any data of those 183 or so,
16 how long the symptoms are lasting? Because there was a comment on
17 months, and months, and months.

18 Is there any data on those concomitant symptom
19 group?

20 DR. HOET: Well, this is post-marketing data that
21 have, effectively, elements on the post-marketing duration for
22 certain of these symptoms.

23 The best way to analyze this data, the post-
24 marketing setting, is -- the best way to analyze this long-term
25 follow-up, it is always difficult, in post-marketing settings to

1 have this follow-up, and to look at them.

2 So it is a good practice to go back to more
3 standardized and controlled elements. And what we have been doing
4 is looking back to these kinds of symptoms into the efficacy
5 study. And when we have been doing such an analysis we have been
6 found that a certain percentage of subjects effectively have long-
7 term, long-lasting adverse event in the vaccine group.

8 But this was not statistically different from the
9 placebo group. And so, effectively, some of these adverse events
10 that have been reported, either in the post-marketing
11 surveillance, or in the clinical studies, last for a long time,
12 but this is not longer than what is observed in the placebo group
13 of the efficacy study.

14 CHAIR DAUM: We are going to take two more
15 questions. Dr. O'Fallen, please, I'm sorry for butchering your
16 name before.

17 DR. O'FALLEN: It is not the first time.

18 The pregnancy registry, and the comments that I've
19 heard really disturb me. You've made it sound as though you find
20 no consequences, and yet you summarize, in one situation, that you
21 know the outcomes of only 13 of 30 pregnancies, and in 4 of those
22 13 pregnancies the outcome was an abortion.

23 I don't consider that to be showing no pattern of
24 anything. I think you have very little data and those kinds of
25 statements I think should be made much more reluctantly than you

1 seem to be making them.

2 DR. WHEADON: I'm David Wheadon, Vice President of
3 Regulatory Affairs at Glaxo Smith Kline. A pregnancy registry is
4 certainly one of the things we standardly do with any newly
5 introduced drug or vaccine.

6 I think the statement is that to date, in terms of
7 the pregnancies that have been reported to us, we've not seen
8 anything that is unexpected.

9 So certainly spontaneous abortion, within the
10 context of pregnancy, in an overall population, is not something
11 that is unexpected. And I think that was, indeed, what was
12 intended to be said by the conclusionary statement.

13 CHAIR DAUM: Do you want to follow up, briefly,
14 very briefly?

15 DR. O'FALLEN: What is unexpected is the rate of
16 abortions, 4 out of 13.

17 CHAIR DAUM: Dr. Ferrieri, please, and then we will
18 move on.

19 DR. FERRIERI: Dr. Kahn, could you clarify for me
20 if you have revised the package inserts since licensure, the
21 language of change in the package insert, and the information
22 prompting any changes, if such changes took place?

23 DR. KAHN: At this time we've just seen a review of
24 the post-marketing experience. And the two categories that Dr.
25 Hoet discussed.

1 I think what we are talking about, first and
2 foremost, we have discussed with the FDA the possibility of this,
3 there has been no submission on this, so we are not even at the
4 point of saying that one is warranted.

5 But certainly the post-marketing experience has
6 allowed us to better describe or characterize the early onset, the
7 early reactogenicity in terms of their concomitant reporting.

8 But I don't think we see it as different from what
9 was reported in the package insert to date. The hypersensitivity
10 reactions is another issue that we will be discussing.

11 CHAIR DAUM: Thank you very much. We will now
12 conclude the sponsor's presentation, and move back to additional
13 presentation from the FDA.

14 Before we call on Dr. Robert Ball, I would like to
15 ask Dr. Karen Elkins to come up, who had a couple of remarks for
16 us, that sounded like they might clarify some earlier confusion.

17 And once Dr. Elkins is done -- that would be fine,
18 they will turn it around for you.

19 DR. ELKINS: Just to offer a few clarifications in
20 return to the questions that were rattling around on the subject
21 of animal models.

22 There is a long history of using both mice,
23 hamsters, and dogs as animal models for lyme disease, and perhaps
24 others that are familiar with this literature might want to
25 comment as well.

1 In regards to the C3H HEGJ mice inbred strains of
2 mice were surveyed about a decade ago, in a systematic way, by
3 several investigators, including Eulick Shadley and Max Simon in
4 Germany, with the finding that HEJs appear to be unusually
5 susceptible to the development of arthritis after infection with
6 borrelia.

7 There was some hint that there was an association
8 with the H2 type of the mice, but there are certainly examples in
9 which mice having the same HL, or H2 alleles, as HEJs, were not
10 particularly susceptible to the development of arthritis.

11 They have been studied extensively for the
12 pathogenesis, and I think it is fair to say that the mechanism of
13 development of that arthritis is not well understood, there has
14 been data presented that that suggests that it could be related to
15 the development of both CD4 and CD8 positive t-cells that
16 recognize OspA.

17 But it is, at this time, I think, an open question.

18 The -- with regard to the question of whether vaccination with
19 OspA has been studied in mice, instead of the HEJ model, I think
20 this has been best examined in transgenic mice, in which the HLA
21 0401 allele, I believe, was introduced as a transgene into mice.

22 And I think that it was initially on the 129
23 background, and then those were back-crossed on the B10s. And
24 those were intended to be the model, if you will, for genetic
25 control of development of arthritis in animals.

1 However, when those transgenic mice were infected,
2 they did not develop fulminate arthritis, as I understand it. So
3 that model has not been pursued, and I'm not aware of studies
4 using recombinant OspA, or any recombinant proteins that have been
5 studied in those mice, or at least reported publicly.

6 Now, the hamsters have also been used to study the
7 development of arthritis following fulminate disease, and there
8 has been one study reported looking at vaccination with
9 recombinant OspA followed by infection.

10 And I believe that speaks to Dr. Griffin's
11 question. These were an inbred strain of hamsters that I believe
12 are LSH hamsters, and I know absolutely nothing about the HLA
13 types of a relationship between the HLA types in the hamsters, and
14 in humans.

15 But these hamsters, also, are fairly susceptible to
16 the development of arthritis after infection alone.

17 One study from Ron Schmells in Wisconsin vaccinated
18 mice with, I believe, 30, 60, or 120 micrograms of recombinant
19 OspA, this was a home brew preparation of recombinant OspA that
20 was absorbed to alum, but it was not the LYMERix product.

21 And the group reported that mice that were
22 vaccinated with OspA did not get any observable hind paw swelling.

23 But that when challenged with borrelia, 11 or 12 days, I believe,
24 after vaccination, there was an increase in hind paw swelling,
25 compared to those that were only challenged and not vaccinated.

1 There were a couple of features of that particular
2 set of experiments that may or may not be relevant to the
3 vaccination situation. First the time interval between
4 vaccination and challenge with borrelia was very short, either 11
5 or 12 days.

6 There was sub-dose response data presented. The
7 120 microgram dose, I believe, showed less change with challenge
8 than the 30 or the 60 microgram dose, which was a little peculiar.

9 And the other way around, that is, challenge
10 followed by vaccination with purified protein was not reported.

11 CHAIR DAUM: Thank you, Dr. Elkins. Will you be
12 around later in case people want to question you further about
13 that?

14 We will now introduce Dr. Ball to give us a report
15 on the VAERS data from the FDA.

16 DR. BALL: Good afternoon. Today I will be
17 speaking about adverse events reported to VAERS following LYMERix,
18 and then briefly discuss our plans for follow-up studies to
19 evaluate the safety to the vaccine.

20 Before I get into the details of the adverse events
21 reported after LYMERix, I would like to give a brief introduction
22 to the vaccine adverse event reporting system.

23 It is a national system for surveillance of adverse
24 events after vaccination, and it receives about 11,000 reports per
25 year. It is jointly managed by the FDA and the CDC.

1 Reports are received from health professionals,
2 vaccine manufacturers, and the public. Anyone can submit a report
3 about any event, and all reports are accepted into the data base.

4 This effort to cast a wide net results in both
5 causal and coincidental events being captured. All death and
6 serious reports, which are defined as events requiring
7 hospitalization, prolongation of hospitalization, life-threatening
8 illness, or permanent disability as defined by the reporter,
9 receive follow-up to obtain missing information, and when
10 possible, detailed medical records.

11 Death and serious reports are reviewed by FDA
12 medical officers upon receipt. VAERS is used to detect
13 unrecognized adverse events, to monitor reactions, to identify
14 possible risk factors for adverse events, and to conduct vaccine
15 lot surveillance.

16 Surveillance systems such as VAERS are subject to
17 many limitations. They include the fact that reported diagnosis
18 are not verified if medical records are not included, or obtained
19 in the follow-up.

20 There is lack of consistent diagnostic criteria
21 applied to the reports. Reports are coded using a system called
22 COSTART, which I will describe in a little more detail later.

23 There is a wide range in data quality. The reports
24 range from brief descriptions to complete medical records. There
25 is underreporting, although the amount of underreporting is

1 unknown.

2 There is inadequate denominator data. We have
3 information on doses distributed, not doses administered, and
4 there is no data on the demographics of vaccine recipients, in
5 particular, age or gender.

6 And there is also no unvaccinated control group.
7 So as a result it is usually not possible to asses whether a
8 vaccine caused the reported adverse event.

9 I just want to show you the VAERS form, and I've
10 highlighted the block 7. This is the block that is available for
11 reports to describe events, and oftentimes this is the only
12 information that we receive from reporters.

13 So given the limitations of VAERS, how do we use
14 the system? We use it by describing characteristics, and looking
15 for patterns to detect signals of adverse events that could be
16 plausibly linked to a vaccine.

17 We do this by looking for unusual clustering by
18 age, gender, time-to-onset, or dose. We examine positive
19 rechallenge reports, which are defined as reports in an event
20 after one dose, with the same event following subsequent doses.

21 And then we also examine symptom codes and clinical
22 characteristics for unique or unusual patterns. We also evaluate
23 the biological plausibility of a vaccine adverse event
24 relationship, look at pre-existing conditions, and concomitant
25 illness and medication use that can also influence the adverse

1 event.

2 But signals detected through the analysis of VAERS
3 data almost always require confirmation through another type of
4 study.

5 As I mentioned, there are no standardized case
6 definitions in VAERS. And we use a system known as COSTART. We
7 rely on coding of reports by non-physician nosologists using the
8 system.

9 Within COSTART coding depends on the use of certain
10 words or phrases in a report. For example, a report would be
11 coded rheumatoid arthritis, and simply if that diagnosis is
12 mentioned in the report without confirmation.

13 The report might be coded arthritis, if the report
14 mentions the word arthritis, or arthritic, and a report would be
15 coded as arthrosis if the report mentions joint swelling.

16 As a result, reports with different degrees of
17 diagnostic precision may have the same coding term. And coding
18 terms must be interpreted very cautiously.

19 I will shift gears to reviewing the adverse events
20 reported after LYMErix. Again, the purpose is to describe the
21 characteristics and look for patterns to detect events that could
22 be plausibly linked to the vaccine.

23 We reviewed all reports from December 21st, 1998,
24 which is the date of licensure, through October 31st, 2000.

25 And I'm going to describe, today, selected adverse

1 events, including the death and serious reports, hypersensitivity
2 reports, because they are known to occur after many vaccines,
3 reports of facial paralysis, and reports coded arthritis, or
4 arthrosis, rheumatoid arthritis, because of the association
5 between arthritis and lyme disease, and facial paralysis and lyme
6 disease. Also reports mentioning lyme disease.

7 I am also going to discuss selected potential risk
8 factors, including self-reported HLA types, and self reported
9 history of lyme disease, because of the theoretical concerns of
10 increased susceptibility to arthritis in these groups.

11 So from December '98 through October 31st, 2000,
12 there were 1,048 reports in VAERS with approximately 1.4 million
13 doses distributed. The vast majority of those reports occurred
14 after lyme vaccine alone, there were no other simultaneously
15 administered vaccines.

16 There were four deaths reported to VAERS, 85
17 serious reports, which were defined as hospitalization,
18 prolongation of hospitalization, disability, or a life-threatening
19 illness as defined by the reporter.

20 And of the selected adverse events there were 22
21 reports of hypersensitivity specifically urticaria, or urticaria
22 with respiratory symptoms. There were 133 arthritis type reports,
23 13 reports official paralysis, 16 reports of lyme disease, and
24 there are 19 reports of people reporting DR4 HLA type, 17 in
25 people reporting other HLA types, and there were 76 people

1 reporting history of lyme disease.

2 I just wanted to emphasize that these events have a
3 temporal, not necessarily a causal relationship with the vaccine.

4 This map illustrates the fact that the vast
5 majority of the reports are coming from the mid-Atlantic and New
6 England region, where lyme disease is prevalent, and probably
7 represents use of the vaccine, although we don't have data on
8 state by state vaccine administration.

9 This figure shows the frequency distribution of all
10 VAERS LYMERix reports by calendar quarter. The number of reports
11 is on the Y axis, the calendar quarter on the X axis. The white
12 bars represent report numbers by date vaccinated, and the black
13 bars represent report numbers by date reported.

14 You can see that most of the reporters were
15 vaccinated in '99, in 1999, although about equal numbers were
16 reported in 1999 and 2000. And this suggests some delay in
17 reporting.

18 And it could be the result of stimulated reporting,
19 from media coverage, of adverse events after LYMERix which began
20 around the end of 1999. Delayed recognition of a connection
21 between an adverse event and vaccination, or delayed onset of an
22 adverse event.

23 This figure shows the frequency distribution of all
24 VAERS LYMERix reports by age and onset. You can see most of the
25 reports are in 40 to 50 year olds. There were 7 reports in people

1 less than 15, 34 reports in people over 70, which are outside of
2 the recommended age range for the vaccine. This could reflect off
3 label use, or errors in the reported age.

4 We don't know the age distribution of vaccine
5 recipients, so we can't say if age is a risk factor for adverse
6 events. We also know that about 53 percent of the reports were
7 for males, 47 percent for females. And, again, we also don't know
8 the gender distribution of vaccine recipients.

9 This figure shows the time to onset of adverse
10 events after LYMERix. And as you can see most of the reports are
11 on the day of vaccination, or in the next few days. This is a
12 typical pattern of time to onset reported for most vaccines in
13 VAERS.

14 You can also see that we have some reports many
15 days after vaccination, and I think the longest is about 300 days.

16 This figure shows the previous distribution by
17 dose, most of the reports are after the first dose. This table
18 shows the ten most common coding terms reported to VAERS after
19 LYMERix. And the italicized terms represent events that were
20 associated with the vaccine in the trial.

21 So that you can see that most of the top ten events
22 represent events that were reported in the trial. I would like to
23 caution that the definitions used in VAERS for these events, the
24 definitions in the trials, could be slightly different.

25 Also many of these events are non-specific, for

1 example, flu syndrome, and that is commonly reported after many
2 vaccines.

3 There were four deaths after LYMERix reported to
4 VAERS. They included two men who died from autopsy proven
5 cardiovascular disease; a 43 year old man who developed arthritic
6 and neurological symptoms, which he attributed, or which the
7 report attributed to LYMERix, and that person committed suicide
8 seven months after the second dose of the vaccine.

9 An autopsy was conducted and did not report any
10 findings that could explain the symptoms, although it is not
11 clear, from the report, what type of investigation was done.

12 The fourth death was in a 69 year old woman who
13 developed anemia and thrombocytopenia seven months after the first
14 dose, and died six months later, an unknown time after the third
15 dose, the diagnosis of myelofibrosis, and no autopsy was conducted
16 in that case.

17 And these deaths represent temporal, not
18 necessarily causal, associations with the vaccine.

19 There were 85 serious reports, 44 reports of
20 musculoskeletal events, which I will describe a little later.
21 There were 24 reports of a variety of neurological events,
22 including 5 reports of cerebral ischemia that included three
23 cerebral vascular accidents, two transient Ischemic attacks.

24 The median age in the people who had those events
25 was 62, and events of this nature are common in that age group.

1 There were also 5 reports of demyelinating events, two reports of
2 optic neuritis, one 131 days after the vaccine, the other an
3 unknown number of days after the vaccine.

4 Two reports of transverse myelitis, 10 and 13 days
5 after the vaccine. And there was one non-specific demyelinating
6 condition diagnosed 208 days after vaccination.

7 The remainder of the neurological events didn't
8 fall into any single diagnostic category. There were also three
9 hypersensitivity events, which I will discuss a little bit later,
10 as well.

11 The remainder of the adverse events fell into a
12 miscellaneous category with no clear pattern. This figure show
13 the time to onset for the 24 hypersensitivity events, defined as
14 either urticaria, or urticaria with respiratory symptoms after the
15 vaccine.

16 And the two reports that are lacking represent a 39
17 year old woman who developed a red face, itching, and had the
18 sensation her throat was closing within one hour of the second
19 dose.

20 The second report was in a 39 year old woman who
21 experienced itching, hives, chills, myalgia, labored breathing,
22 nine hours after the first dose. Both of these patients were
23 treated with epinephrin and steroids, and recovered.

24 And the close temporal relationship in the specific
25 clinical symptoms and signs in these reports, and the other, or

1 some of the other urticaria reports, makes a causal relationship
2 with the vaccine plausible.

3 The next exam reports coded arthritis, arthrosis,
4 or rheumatoid arthritis, because of the link between lyme disease
5 and arthritis, and the theoretical concerns that have been
6 discussed.

7 Here we see the reports of thirty conditions by
8 calendar quarter vaccinated in the white bars, and calendar
9 quarter reported in the black bars. While most people who
10 reported these conditions were vaccinated in 1999, more than
11 reported in the year 2000, again suggesting delayed reporting,
12 which could reflect either against stimulated reporting, delayed
13 recognition of a connection between an arthritic condition, and
14 the vaccine, or delayed onset of the adverse event.

15 As a remainder, in the pre-licensure trial there
16 was no difference in the rate of arthritis in the vaccine and
17 placebo recipients. In the VAERS reports of arthritis or
18 arthrosis, and rheumatoid arthritis, we looked for patterns by
19 age, gender, and dose.

20 There is no substantial difference in age among the
21 arthritis reports, but we did note two patterns that are
22 illustrated on this slide. For arthrosis reports, which are
23 reports of joint swelling, you can see a male predominance. When
24 a female predominance would be expected based on the female
25 predominance for the diagnosis of arthritis in the general

1 population.

2 However, you will see that when we total all three
3 of the coding terms, the gender is approximately equal between the
4 two groups. We also found that for the coding terms arthritis and
5 rheumatoid arthritis there was a predominance of these events
6 occurring after the second dose, which persisted although slightly
7 less for all the three coding terms.

8 And, again, this is not what would be expected
9 based on the fact that most reports of adverse events after
10 LYMERix were after the first dose.

11 So we further examined this dose trend by looking
12 at time to onset by dose for the rheumatoid arthritis, and
13 arthritis coding terms.

14 And we did this because if the vaccine is causing
15 arthritis through a common immune mechanism we might expect
16 clustering of time to onset.

17 This slide illustrates the time to onset for the
18 rheumatoid arthritis reports, the first dose report is in white,
19 and the second dose report is in grey. And as you can see there
20 is a wide range in time to onset with no particular clustering.

21 Similarly for the reports coded arthritis we see
22 the first dose in white, second dose in grey, and third dose in
23 black, we see a wide distribution of time to onset, with some
24 clustering in the first week, but this is what we would normally
25 expect for reports to VAERS.

1 And we also see some reports with delay onset, and
2 those reports also did not cluster and range from 11 to 39 weeks
3 after vaccination.

4 We wanted to address this issue further, so we
5 tried to characterize the clinical symptoms and signs in the
6 reports that were coded arthritis, arthrosis or rheumatoid
7 arthritis, and see if they mentioned any of the five factors,
8 joint pain, limited motion, joint tenderness, joint warmth or
9 joint swelling that is typically used for the diagnosis of an
10 inflammatory arthritis, with joint swelling being the most
11 suggestive.

12 So we see there that there are 58 reports that
13 specifically mention joint swelling. And we further examined
14 their time to onset by dose stratification and, again, see no
15 unexpected patterns with a wide distribution of times to onset.

16 We also looked at reports of facial paralysis
17 because of the association with lyme disease and facial paralysis.

18 In the pre-licensure trial there was no difference in the rate of
19 facial paralysis between the vaccine and placebo recipients.

20 In VAERS there were 13 reports. There was one
21 unexpected pattern in that there were ten men and two women when
22 we would expect approximately equal distribution based on the
23 natural history of the disease.

24 Although, again, we don't know the distribution of
25 vaccine recipients by gender.

1 We conducted a follow-up survey of the 12 people
2 who had reported as of October 2000 to further assess these cases.

3 We were able to contact 7, 5 were lost to follow-up.

4 Four of the seven had concomitant illness,
5 including two with hypertension, one with hypertension and
6 diabetes, and one with multiple cranial nerve palsies of
7 undetermined etiology. That patient had headaches prior to
8 vaccination which might have represented the onset of that
9 disorder. Five of the seven have completely recovered.

10 We also looked at the time-to-onset of these
11 reports and, again, we see a wide range of time-to-onset with a
12 slight peak at four weeks.

13 Because of the theoretical concern of the
14 association of the DR4 HLA type and treatment resistant lyme
15 arthritis we further examined reports that included this
16 information.

17 There were 19 reports that included the DR4 HLA
18 type and 17 reports of other HLA types. The coding terms
19 arthritis and arthrosis were more common on people who reported
20 any HLA type, but the clinical characteristics and coding terms
21 were similar in the two groups, and there was not a predominance
22 of arthritic conditions in the DR4 group.

23 There were more reports after the second dose for
24 both of these groups, but the time-to-onset was reported to occur
25 over a wide range.

1 We also looked at the 76 people with the self-
2 reported history of lyme disease, and here you can see their
3 coding terms. We compared that with the ten most common coding
4 terms for all reports, and what you can see is that there is some
5 shifting in the order in which these coding terms occur, but the
6 overall pattern is similar between the two groups, suggesting that
7 people with a self-reported history of lyme disease report similar
8 events, as others after LYMERix.

9 There are also 16 reports of people who reported
10 they developed lyme disease after vaccination. The clinical
11 characteristics in coding terms were consistent with lyme disease
12 in this group.

13 Fourteen of these people developed lyme disease
14 after their first or second dose, before completion of the vaccine
15 series, and may not have achieved adequate immune response,
16 possibly resulting in acquiring natural lyme disease.

17 A few of the reporters were concerned that the lyme
18 vaccine had reactivated a previous lyme disease, or somehow
19 influenced the course of lyme disease. But it is not possible,
20 from the reports that we have, to evaluate this.

21 So, in summary of the VAERS analysis, VAERS has
22 limited ability to asses the causal relationship of adverse events
23 in vaccines. However, hypersensitivity reactions reported to
24 VAERS are common, but can be plausibly linked to LYMERix because
25 of their specific timing, shortly after vaccination, and their

1 clinical features, specifically urticaria and allergic respiratory
2 symptoms.

3 The question of the association of arthritis with
4 LYMERix cannot be resolved with VAERS data alone, although the
5 reports of arthritic events reported to date do not provide clear
6 evidence of a causal association.

7 We are attempting to gather additional information
8 on people who report joint problems following LYMERix by
9 conducting a telephone survey. We are looking at events that have
10 been coded as arthritis, arthrosis, rheumatoid arthritis, joint
11 disease, or arthralgia, in order to obtain detailed information
12 about the events including medical records.

13 We intend to look for patterns of unusual disease
14 or laboratory values in these reports. We also want to confirm
15 the diagnosis of arthritis for a case control study, which I will
16 discuss in a moment.

17 And as of last week we have completed 35 of
18 approximately 200 planned interviews.

19 We want to further study this question by
20 conducting a case control study based in VAERS. We will use
21 arthritis cases confirmed by the survey, and compare them with two
22 control groups, also identified through VAERS, that would include
23 arthritis cases reported following other vaccines, and events
24 other than arthritis reported following LYMERix.

25 Our intent at this time is to conduct high

1 resolution HLA typing in all three groups, and test for t-cell
2 reactivity to OspA and LFA1.

3 Probably only a very strong risk will be detectable
4 in this study, because of the relatively small numbers of
5 arthritis reports in VAERS. But if the results are suggestive of
6 an association additional studies will be conducted as needed.

7 At present the protocol for this study is still in
8 development.

9 So, finally, our plans for continued safety
10 evaluation of LYMERix include continual monitoring of VAERS
11 reports, conducting a VAERS based telephone survey, a planned case
12 control study to further evaluate joint problems following
13 LYMERix.

14 And, of course, the results of the maintenance
15 sponsored phase IV study will be very important to help evaluate
16 safety concerns.

17 I would just like to acknowledge the others at the
18 FDA and CDC who helped to analyze this data. Thank you.

19 CHAIR DAUM: Thank you very much, Dr. Ball. We
20 have a few moments for questions regarding Dr. Ball's presentation
21 on the VAERS data. Ms. Fisher.

22 MS. FISHER: Dr. Ball, you stated that it does not
23 provide clear evidence for an association with arthritis, but it
24 must be enough of a concern for you that you are doing further
25 studies, I see.

1 Is there any plans, in the one control group,
2 arthritis cases reported after other vaccines, are you going to be
3 looking at the genetic profile of those individuals to see if,
4 since 30 percent, I think the DR4 allele, is there going to be an
5 attempt to look at whether or not there is some sort of an
6 association?

7 DR. BALL: The idea behind the case control study
8 is to look at HL type in both the cases who develop arthritis
9 after lyme vaccine, as well as the two control groups. So we will
10 try to address that.

11 CHAIR DAUM: Questions, comments?

12 (No response.)

13 CHAIR DAUM: Okay. Well, the -- you must be
14 hungry. Thank you, Nancy, for reminding us of basic biology here.

15 It is now 12:28, coming up on 12:30. We will take
16 a break for lunch and reconvene in one hour, at 1:30

17 (Whereupon, at 12:30 p.m. the above-entitled matter
18 was recessed for lunch.)

19

20

21

22

23

24

25

1
2
3
4
5
6
7
8
9
10
11

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

(1:35 p.m.)

CHAIR DAUM: Good afternoon, we are back in session. Committee members needing a jolt of caffeine will be pleased to know that a new pot of coffee will be forthcoming in a few moments, we hope.

We turn now to the -- everybody sort of settle down, please. We turn now to the open public hearing portion of today's session. As of last count we have 17 people who have indicated a wish to speak.

We are going to have to move on a strict schedule because we need to have time for the committee to digest, deliberate, and then discuss all of the data that they've heard today.

So I'm going to be a little more ruthless than usual about asking people to adhere to the time limits that we've all agreed to, and mentioned before.

What I'm going to do is to call three speakers names in a row, and asking one to begin, and the other two to sort of get ready. The options are to use the microphone that is just behind the committee tables, near the cameras, or to use the podium. Either is fine, but the same time limit applies, and I would appreciate your cooperation in that regard.

So the first speaker is going to be Karen Vanderhouf Forschner, who I know is up here already. The second

1 is Stephen A. Sheller, I hope I'm not butchering anybody's names,
2 I apologize if I am. And the third one is Jenny Marra.

3 So let's begin with Ms. Forschner, please.

4 MS. FORSCHNER: Good afternoon, and thank you for
5 having me here. I'm with the Lyme Disease Foundation, which is
6 the only national lyme disease group meeting federal standards as
7 national.

8 I have a disclosure to make. We have always
9 supported vaccines, throughout the Foundation's history, funding
10 vaccines, and encouraging their development. We have testified at
11 FDA and CDC meetings for this.

12 We also received, this year, a grant of 120,000
13 from SmithKline Beecham, which is part of a matching grant
14 challenge from 1999, and there will be additional donations for
15 the year 2000.

16 We have, I'm the mother of a child who had lyme
17 disease, who died of lyme disease, and I have not taken the
18 vaccine, though I was willing to enter the trials.

19 And my daughter, who was born subsequently, is
20 healthy, and we were going to have her on the trials, too, though
21 she was sick.

22 We have concern over the scientific evidence and
23 criteria being not completely scrutinized and published. We are
24 concerned about the closed loop and difficulty of other opinions
25 and scientists getting into these government discussions and

1 looking at the data.

2 We are concerned about conflict of interest. We
3 know that there were HLA studies done, from what we understand, in
4 phase II, we haven't seen it. There is significant amount of
5 research that has been done, much to SmithKline Beecham's credit,
6 that hasn't been published, unfortunately.

7 We are concerned about informed consents to
8 patients, both with prior lyme, and on the HAL issues. There has
9 been data compiled for adverse outcomes. We are concerned that
10 the data that was captured before is still the same data that you
11 are capturing now, and may not actually represent what is actually
12 happening to the patients out in the real world.

13 We are concerned about the definitions used for
14 vaccine failures. We are concerned about definitive lyme, and
15 probable lyme, probable lyme I haven't seen anything up here on
16 the screen.

17 We are concerned about the misuse of the vaccine in
18 people that are older, and people under current treatment for lyme
19 disease. We have concern about patients not being able to get
20 into the VAERS system, which we have been hearing for years, for
21 adverse events.

22 Doctors and investigators not reporting their
23 patients as having problems, and fear of patients getting the
24 vaccine from family practitioners, that they don't want to go
25 ahead and say that they've had problems, it might affect their

1 relationship long term.

2 As you know the science in the vaccine, and I'm
3 giving the committee a tape, is 36 percent of the patients in the
4 trials remain zero negative. Those were the ones that were
5 culture and PCR positive, which means there are some people that
6 will be zero negative, and may fall through the cracks.

7 We are concerned that only 60 to 70 percent of
8 those people had EM rashes. I have four exhibits to show you. I
9 think you can still hear me as I move over here.

10 As you know, in '93, there was -- and this material
11 is just the front page of the material provided to the members
12 here. In '93 there was an active discussion going on on HLA
13 typing, that apparently may not have made the informed consent
14 forms.

15 In '95 one of the investigators wrote to the
16 National Institutes of Health and said that he was working on the
17 trial for SmithKline Beecham, and a percentage of the patients
18 developed joint pain or arthritis following vaccination.

19 He was going to be studying the HLA profiles, and
20 he continues to be concerned about the phenomenon. My concern is,
21 did this person ever tell SmithKline Beecham? Did they tell the
22 internal review board, did they tell the data safety and
23 monitoring board, did they tell the FDA since it went to the
24 National Institutes of Health, and certainly did they tell the
25 patients at the time.

1 There is a scientific article that I think was
2 excellently done. Eve O'Day is co-author of it. What they have
3 done is looked at monkey models, and what they showed here, that
4 vaccinated monkey models were zero negative, there was no culture
5 in the ticks, borrelia burgdorferi, there was no culture from the
6 animals, but they found a low level of transient infection in the
7 patients.

8 There is another interesting article that was
9 published in '97 that showed that the vaccine may cause a state of
10 partial immunity. I'm not saying that this is actually happening.
11 I'm saying that this was in the scientific literature, and it was
12 in the debate at the time. Did this translate to informed consent
13 to the public?

14 What is happening out in the real world, even
15 today, is patients are not getting into the system, they are
16 having trouble reporting to their doctors, and they are having
17 trouble. So there is an example of a letter that went in that my
18 doctor would not report me as an adverse event in the trials.

19 And finally one that was, second to last, one that
20 was more recent, and more home for me, since it is in my own home
21 town, this patient had a doctor who gave him the LYMERix vaccine
22 in the second week of treatment for lyme disease.

23 Three doctors in the practice had said it was
24 perfectly safe to take it while you have active lyme disease, and
25 actually gave it to the patient. In other conversations with the

1 doctors, separate from this, they had indicated that they felt
2 under pressure since they had invested so much in the LYMERix
3 vaccine to actually use it, and get it off the shelf.

4 Finally, there is an issue of cost effectiveness of
5 the vaccine. The letter to the editor said, maybe instead of
6 treating everybody in a large region to prevent it with a vaccine,
7 with risks that still indeed continue to be questioned, maybe it
8 would be better to treat just that small population that had a
9 tick bite, and treat the tick bite with 15 dollars worth of
10 antibiotics.

11 Right now I weigh the question about the vaccine
12 myself, since I lost my son, and I would like a vaccine. I'm
13 done. What I'm concerned is that right now I protect her with
14 tweezers, and if she actually were ever to need it, I would ask
15 for antibiotics. But right now I do tick checks, and I use
16 tweezers.

17 And I'm afraid that this is a vaccine that may be a
18 very good vaccine, worthy of all of our support, that has a bad
19 reputation, or a vaccine that may have actually slid through the
20 system on science that didn't quite build it up, and may not be
21 worthy of being there.

22 And I think it is owed its due to get the answers
23 verified.

24 CHAIR DAUM: Thank you very much, Ms. Forscher.
25 And we will next call on Mr. Sheller, then Ms. Jenny Marra, and

1 Dr. Sidney Wolfe. Mr. Sheller represents, or is associated with
2 the law offices of Sheller, Ludwig, and Bodey.

3 MR. SHELLER: Thank you. You know, sometimes I
4 feel you are like a jury here, that is going to only hear one side
5 of the situation. My recommendation to you is that for your next
6 meeting you invite some speakers who can portray to you additional
7 information.

8 For example, Dr. Rose from the Dupont Children's
9 Hospital. You might even consider inviting the chief surgeon from
10 the hospital, who was knocked out of surgery because he
11 participated in a trial and got arthritis from it.

12 So what I'm suggesting to you is let's consider
13 this committee having the full kind of flavor, instead of just
14 five minute talks by a bunch of people, from at least some
15 scientists that they can portray, give very good questions, you've
16 asked tremendous questions, and I appreciate the effort you are
17 making.

18 But let's have a trial where you get to hear the
19 whole case. In any case I'm here to urge this committee to
20 recommend the moratorium, if not withdrawal of LYMERix, or at the
21 very least recommend substantially enhanced warnings for the
22 vaccine.

23 With spring quickly approaching the time for action
24 is now. People who started the vaccine schedule last year are
25 coming due for their third shots, and additional people may start

1 the vaccine schedule with their first and second shots very soon.

2 Therefore the committee has a chance now to save
3 some people. And you can do your job by doing it right away. And
4 I will give you some examples, but you are going to hear a bunch
5 of people testify, and I prepared a document which you have, which
6 outlines a bunch of papers, and materials, and I hope that you
7 read it.

8 We put a lot of time and effort into it, and we try
9 to bring you some expert testimony, but unfortunately we were not
10 able to get the people to come, who had information, because they
11 said for five minutes I can't just come here and do this.

12 Now, keep in mind this. And this is something I'm
13 adding. I heard Dr. Ball talk about the study he is doing. I
14 appreciate he is doing a study, I'm disturbed that the FDA waited
15 all this time to get around to doing it.

16 But most importantly the numbers, and I think there
17 is a chinese fortune cookie that says, when all else fails,
18 manipulate the numbers. But apart from that, I don't mean to
19 joke, this is very serious.

20 But what I want you to do is keep in mind that
21 there are 1,076 adverse events as of October 31st. There were,
22 supposedly, 1,450,000 doses distributed.

23 I don't know what the word distributed to me is,
24 but I know from doctors who have the vaccine, it is sitting on
25 their shelves in a lot of cases. So my guess is that there are a

1 lot of doses distributed that haven't been injected into any
2 patient.

3 Equally important, the adverse event reporting
4 system only captures a very small percentage of adverse events.
5 And this has all been said, and there has been delayed reporting
6 of a number of adverse events.

7 So you have 1,076 events -- and remember, most
8 people get three shots, some as many as five. My guess is those -
9 - you may have 100 to 150,000 people, at most, vaccinated. We
10 have found that the real problem seems to occur after the second
11 shot.

12 We have also found that a reaction on the first
13 shot, and I've gotten calls from over 200 people, we don't
14 advertise, we don't solicit, these are clients that I represent,
15 some of them extremely seriously ill.

16 And I'm just saying to you, if they have 1,076
17 adverse events as of October 31st, do some quick numbers in your
18 mind, multiply it by 10, at least 10. That is 10,000.

19 Assume that may be 100,000, 150,000, build it up if
20 you want, but just do some quick numbers, add some lag time to
21 that, you've got an awful lot of adverse events being reported
22 here, an awful lot.

23 And you ought to take a real close look, because
24 the system for collecting adverse events doesn't really tell you
25 much. In fact that is what I heard about the studies being done

1 by SmithKline. They draw conclusions without revealing how many
2 shots were administered, which is key, I'm telling you, it is
3 after the second and third shot that people really get -- and you
4 will hear that today.

5 What else you will hear is that there are studies
6 that are being done. And not only by SmithKline, and you need to
7 invite these people to speak to you.

8 I'm trying to get all this in, in five minutes.
9 One of the worse things we've seen is physicians are failing to
10 recognize adverse reactions to those first and second shots, very
11 serious problem.

12 We have some poor client in the -- and what they do
13 is they then get the third shot, even though they are suffering
14 some adverse event, and then they are wiped out.

15 But, for example, we have seen people being -- we
16 have one client from Peoria, Illinois, who was told that he needed
17 his coccyx bone removed, and he had a reaction to the vaccine.
18 The doctor had no inkling that is what was going on. He was
19 operated on, developed osteomyelitis, and he is finished.

20 We have other clients who have gotten carpal tunnel
21 syndrome diagnosis, and had operations on their hands. The
22 doctors aren't being given information in the labels, they are not
23 being able to properly be warned.

24 You can't get a -- you know how labels work. Most
25 doctors say they read it, but they look at the warning section,

1 and then they stop. And if these things aren't in black boxes,
2 this HLA situation for example, I think is key.

3 And I see what SmithKline said, basically today,
4 and I see you -- the HLA situation has not been adequately
5 studied. Dr. Steere is studying some of it, but I refer to a case
6 in our papers, where Dr. Steere does some peptide blood work, but
7 he says in his studies, you are supposed to do synodal fluid to
8 find out about that.

9 And I mentioned that. Now, why? And you will hear
10 one of these patients talk about their synovial fluid, even though
11 swelling was never tested. And they were diagnosed as having an
12 event, by their treating physician, relating to the vaccine that
13 is extremely serious for them.

14 Thank you.

15 CHAIR DAUM: Mr. Sheller, thank you. We next call
16 on Ms. Jenny Marra, followed by Dr. Sidney Wolfe, and Ms. Kathleen
17 Dickson. Ms. Marra?

18 MS. MARRA: My name is Jenny Marra, I'm a hospice
19 nurse from New Jersey. I'm also a LYMERix vaccine victim. I have
20 been living with severe joint and muscle pain since getting the
21 vaccine in early 1999. I'm also HLA DR4 positive.

22 I would like to start by quoting the chairperson at
23 the FDA committee that approved LYMERix, Patricia Ferrieri. "I
24 might comment that this is fairly rare for a vaccine to be voted
25 on with such ambivalence and a stack of provisos."

1 The entire panel had concerns about the long term
2 outcome of this vaccine due to the fact that it had only been
3 studied for 20 months. They were also concerned about the
4 theoretical possibility that this vaccine, made from the OspA
5 protein, could cause an untreatable, incurable form of arthritis
6 in 30 percent of the populations.

7 In fact, the head of the clinical studies, Allen
8 Steere had said: "This is an issue of concern, on-going
9 surveillance will be important."

10 Steere had published an article in Science Magazine
11 on this topic five months prior to the approval of LYMERix. The
12 article is in the vaccine victims packet I've given you.

13 SmithKline was so concerned with this issue that
14 they had study participants sign a paper indicating the
15 theoretical possibility existed that vaccine, that the vaccine
16 might cause arthritis in certain genetically susceptible
17 individuals.

18 Yet SmithKline did not include this information in
19 the product labeling, or inform the health care providers of this
20 concern. Had I known this I personally would not have taken the
21 vaccine.

22 I have obtained the VAERS reports up to May 8,
23 2000. They are a little different than what I heard today.
24 During this time there were 467 reports. Out of those there were
25 146 reports of joint pain and/or swelling.

1 I have studied these for over a month, and going by
2 the wording of the complaints, noted pain in the joints, joint
3 pain, swelling, arthritis, and that is all that I included, I
4 didn't even include most that he did.

5 And as most of us are aware, 90 percent of the
6 adverse reactions are not reported. So there are many more people
7 that are suffering from this vaccine that we don't even know
8 about.

9 SmithKline knowing this theoretical possibility,
10 even went ahead and tested it on children before knowing the long
11 term outcomes on the adults. To me this is outrageous. This just
12 shows the heartless disregard that SmithKline has for the children
13 and adults of this country.

14 This is pure profit motivation. It is the only way
15 to explain the total lack of concern for the public. I have done
16 TV and newspaper interviews to educate the public of the
17 devastating effects of this vaccine.

18 From this I am contacted daily by people harmed by
19 this LYMERix, some of which are here today. Others cannot make it
20 because of the illness they have gotten from this vaccine.

21 I have been told by some that they have tried to
22 contact SmithKline about the reactions. They are put on hold
23 until they give up and they just hang up the phone.

24 A few of the people were in the clinical studies.
25 I have been told by them that they would go to SmithKline with

1 different problems that were happening to them, and SmithKline
2 would not document the reactions they were having.

3 One study participant, Lewis Ball, wrote a letter
4 to respond in an article in the New London newspaper that states:
5 "I am part of the original test group that got the vaccine
6 mentioned in this article. On two different occasions I contacted
7 Dr. Sisken with health problems that I wanted to be part of the
8 record on the study, into the heading of possible side effects."

9 "I was told, on both occasions, that there was no
10 column to file these health problems in, because they weren't
11 expected. One involved sudden memory loss, and the other was much
12 more involved."

13 In the VAERS report I have there is a 43 year old
14 gentleman that you heard of earlier, that committed suicide seven
15 months after getting this vaccine because the pain is so severe,
16 and from being unable to get relief from 14 doctors he had seen.

17 I can relate to this man's pain, as can most of the
18 75 people I have spoken to, that have been hurt by this vaccine.
19 Most of us agree that if it was not for the support of our
20 families we would not -- we would have done the same as this
21 vaccine victim.

22 This is how severe this pain is we are living with
23 every day. We have all seen several doctors looking for help.
24 Our health care providers are turning us away with statements like
25 "I don't want to get involved".

1 That is what a rheumatologist told me and my
2 husband a few months ago. This is the attitude a lot of the
3 health care providers, these people hurt by the vaccine are
4 dealing with.

5 This vaccine is not causing just some minor joint
6 pain, it is destroying lives. It is destroying the lives of our
7 most healthiest population. These people being vaccinated are
8 healthy outdoor people.

9 They thought they were protecting themselves from a
10 horrible disease. Instead they've gotten an even worse disease,
11 one that cannot be treated or cured.

12 We all would have been better off getting lyme
13 disease. SmithKline wants this vaccine approved for children. I
14 know a few children that were in the studies that have already
15 been severely hurt.

16 From what I can gather, from the study participants
17 I have spoken to, SmithKline's adult studies were tainted. How
18 can we trust the children's study results?

19 I ask this panel today to recommend that this
20 vaccine be stopped immediately. If you cannot pull it, at least
21 put it on hold until the studies that you are talking about today
22 are done.

23 It may be too late for us vaccinated, but it is not
24 too late to stop the destruction of more lives. Thank you.

25 CHAIR DAUM: Ms. Marra, thank you. The next

1 speaker is Dr. Sidney Wolfe, followed by Ms. Kathleen Dixon, and
2 Ms. Kay Lyon.

3 DR. WOLFE: Thank you. This is the first time in
4 more than 20 years --

5 CHAIR DAUM: Can you speak right into the
6 microphone, Dr. Wolfe. Do you want us to help you adjust it?

7 DR. WOLFE: This is only the second time in the
8 almost 30 years since I left NIH to start this group, that we have
9 become involved in some vaccination or vaccine issue.

10 The first was the swine flu. And although there
11 are a number of differences, such as the high mortality disease
12 influenza was more meritorious generally, not the swine flu, but
13 of having immunization.

14 But there are also a lot of frightful similarities.
15 One is that in the case of swine flu, the vaccine caused an
16 autoimmune disease called Guiembre.

17 Secondly, there was a gross overselling of the
18 vaccine for what amounted to a few cases in Fort Dix, New Jersey,
19 there was a recommendation for nation-wide immunization.

20 So those similarities are where I would like to
21 start, and just simply say that when, and you all know this, when
22 you evaluate a vaccine you have to look at the benefits, which are
23 a function of what the risk of the infection is for someone, which
24 in this case varies enormously around the country, and the
25 effectiveness of the vaccine.

1 You have to look, obviously, at short term and long
2 term effects of the vaccine. And, finally, in combination you
3 have to look at the benefit risk ratio.

4 But equally important, and this was the tragic
5 lesson of the swine flu vaccine, one has to look, when one sees a
6 very questionable immunization campaign such as this going on,
7 about the implication and the negative effect on public health,
8 generally, and on vaccinations in specific.

9 I mean, a huge setback was dealt by the really ill-
10 conceived swine flu vaccine, and I'm afraid that already, and it
11 may even be worse later on, with what is going on with this
12 campaign, it will deal another setback.

13 As several people have mentioned, you voiced some
14 concerns when this was discussed for approval in May of 1998.
15 There is some new information since then.

16 If you go to a website called LYMERix.com, you see
17 some extraordinarily reckless promotion of this vaccine. The
18 first page shows backyard fun, golfing, gardening, pet owner
19 outdoor sportsman, don't let lyme disease interfere with these
20 activities.

21 You then can go on to another page and see that
22 lyme disease, if you check the backyard for grilling, may be as
23 close as your backyard. And there is a little cartoon movie there
24 that shows someone in the backyard grilling, getting bitten with a
25 tick.

1 You later get on to see a map of the United States.
2 We have complained about this ad, which is what it is, and
3 hopefully -- the FDA has actually agreed to look into it.

4 Another problem related to the gross overuse, even
5 if there were any appropriate use for this, is the failure right
6 now for the labeling, and certainly the promotion to fall in line
7 with the ACIP recommendations of 1999.

8 The ACIP recommendations stressed, very clearly,
9 that it is a combination of where you live, and the kinds of
10 activities you are engaged in. So that, for example, persons who
11 live in a high or moderate risk area, it is not recommended that
12 they get vaccinated if their exposure to tick infested habitat is
13 minimal or none.

14 Anyone, regardless of what kind of activity they
15 are engaging in, is not recommended as having a lyme vaccination
16 if they live in the low to no, or very little tick kinds of areas.

17 Related to this is the labeling. And I think that
18 one thing, aside from whether or not you believe a moratorium
19 should be put forth, which I think a reasonable argument could be
20 made for, the current labeling, outside from the advertising, is
21 really off the wall.

22 Nowhere in the indications section is there any
23 mention of geography. That is mentioned in a separate section on
24 epidemiology. It simply says individuals most at risk may be
25 those who live or work in borrelia burgdorferi infected, tick

1 infected grassy or woody areas, landscaping, brush clearing,
2 forestry, and so forth.

3 And it doesn't really get into the geography.
4 Obviously you have to combine both. This label really needs to be
5 changed.

6 Other new information is this very interesting
7 study published in 2000, an animal model in hamsters showing that
8 vaccinating them with this antigen, the OspA antigen, and then
9 subsequently exposing them to the bacteria, the spirochete,
10 developed destructive arthritis.

11 And in the conclusion of their paper they said OspA
12 vaccine should be modified to eliminate epitopes of OspA, outer
13 surface protein antigen responsible for the induction of
14 arthritis. These are people from the state hygiene lab in
15 Wisconsin.

16 There also have been thoughtful studies by the CDC,
17 by Dr. Melsorn, an economist there, and by the IOM, raising
18 serious questions about the benefit risk ratio on this. The IOM
19 placed this whole idea in what they call their less favorable
20 category, the lowest ranking in priorities of vaccine development,
21 just because of the fact that A, the vaccine is not
22 extraordinarily effective; B, it is not preventing a life
23 threatening disease; and C for most people a successful
24 antibacterial intervention can occur not when you have a tick, but
25 when you have some clinical symptoms that are suggestive of

1 actually beginning to have lyme disease.

2 What recommendations would I make? Well, I think
3 that the idea of surfing for a safer vaccine, if one is going to
4 go ahead with vaccination to prevent this disease, certainly is a
5 good one.

6 We have seen enough other instances, in the history
7 of vaccines, where one comes up with an idea of a safer vaccine,
8 and a safer vaccine is always better, particularly when the
9 benefits of this are so questionable.

10 And, secondly, as I mentioned before, immediately
11 require changes in the labeling, not just with respect to the
12 indications, which are flawed, and missing entirely anything about
13 geography, but also the warnings.

14 I think that the labeling should include a lot of
15 information that is missing now, such as this very, very worrisome
16 animal study model for developing arthritis.

17 Secondly more information about the fact that HLA
18 D4 has clearly been linked, in the case of post-lyme disease
19 arthritis, as a risk factor, and it is reasonably likely that the
20 same will occur here.

21 And, also, I think that in the labeling needs to be
22 some explanation about some of the very well documented post-
23 vaccine cases that you will hear about today, and which I think
24 are clearly there. These are documented cases of arthritis in
25 people shortly after they took it.

1 I think the company should be forced to send a
2 letter out to all physicians reflecting the change in labeling
3 that I hope you will recommend.

4 In conclusion, one sentence and I'm done, I think
5 it is highly likely that the majority of people in this country
6 who have been vaccinated with the LYMERix vaccine have had an
7 unfavorable benefit risk ratio when they were vaccinated.

8 As a matter of public health policy it is important
9 to do everything to minimize the damage that may be done from the
10 use of this highly questionable vaccine.

11 CHAIR DAUM: Thank you very much, Dr. Wolfe. We
12 would like to request, again, one of our operating rules here is
13 that there is no flash photography, please. I hope you will all
14 respect that.

15 In an arrangement with Ms. Cherry, Ms. Dixon has
16 been accorded seven minutes, two extra minutes.

17 MS. DIXON: My name is Kathleen Dixon and I am an
18 analytical chemist from southeastern Connecticut. I would like to
19 talk about the validity of the LYMERix adult trial, specifically
20 the validity of the serological standard used, and how that
21 standard affected the vaccine trial results.

22 The problem is the deer borne IgG standard. One of
23 the testing procedures used in the trial, the western blot, looks
24 for antibodies to specific antigens expressed by borrelia
25 burgdorferi.

1 The limitation of the western blot is that it
2 qualifies the body's reactions to the infection, but does not
3 actually quantify, or identify the infectious agent.

4 In lyme disease patients produce variable
5 antibodies over time. I want to point out the IgG response in
6 these patients appear in a characteristic sequential pattern over
7 months to years, to as many as 11 spirochetal antigens, the
8 appearance of new IgN response, and the expansion of IgG response,
9 late in the illness, and the lack of such responses in patients
10 with early lyme disease alone, suggests that borrelia burgdorferi
11 is alive throughout the illness.

12 And, again, Steere reports that in the body of the
13 Dressler report, which I included in the data package for the FDA,
14 the specific immune response in lyme develops gradually over a
15 period of months to years, to greater than or equal to ten
16 spirochetal polipeptides.

17 I want to point out here, of the 237 patients
18 presenting, this is from the Dressler-Steere report, 54 met
19 Steere's criteria for lyme disease, and these showed IgG criteria
20 0 causivity to 72 percent.

21 The majority of these were lyme arthritis patients,
22 and arthritis patients always have a higher antibody response, it
23 is supported in all the literature.

24 Back in 1994, '93, the CDC decided that they wanted
25 to establish a new zero diagnostic standard. We assume it is to

1 facilitate these vaccine trials. In May of '94, this was prior to
2 the Dearborne Conference. The Dearborne conference was in October
3 of 1994, members of the CDC met and decided that the Dressler-
4 Steere standard criteria for IgG of five of ten bands, should be
5 the zero diagnostic case definition to be used in the vaccine
6 trial.

7 And this shows the data sets that they chose, that
8 the studied in the Dressler report, and it shows the bands
9 representative from the arthritis data set only, and just ignored
10 neuro brulliosis.

11 So the problem with the IgG standard is that they
12 calculated that there should be five of ten bands, and that would
13 be a 99 percent specific for borrelia burgdorferi. That was not
14 empirically derived, that was not based on any patient data set.
15 They never showed that, characteristically, 80 or 90 percent of
16 all patients with lyme disease have five of ten bands.

17 This data, from this Dressler report, was generated
18 by borrelia burgdorferi strain G-39-40, a strain which Barbara
19 Johnson of the CDC later, at the Dearborne meeting, recommended
20 not using.

21 And it artificially represents a summary of what
22 the arthritis only presenting patients showed over time.

23 Dressler and Steere report, in the Dressler report,
24 that individual specific bands, such as OSP A, B, C, 1893, and 28,
25 generated from a B strain G-39-40, are specific markers of

1 infection.

2 Confoundingly, OspA and OSPB were left out of the
3 Dressler IgG Dearborn case criteria. And, therefore, the
4 Dearborne case criteria using the LYMErix trial, excluded to
5 Steere, major immunogenic outer surface proteins from the case
6 criteria, OspA and OSPB.

7 So we really don't know what Dearborn case
8 definition means. It doesn't mean -- we really don't know.

9 But what this has affected is that Dearborn case
10 definition misses a lot of patients. Instead of weighing the
11 specificity of an individual band, such as OSPC or P93, both
12 highly specific alone, it will result in the patients lost
13 opportunity for early and successful treatment.

14 This was the previous sera diagnostic standard,
15 according to the CDC. The third one says, significant change in
16 IgM or IgG antibody response to borrelia burgdorferi impaired and
17 acute phase convalescent serum samples.

18 Although potential useful in confirming active
19 lyme, neither cultural isolation or paired serum specimen testing
20 has been used much for validating cases of routine lyme disease
21 surveillance, since the procedures are not often performed in a
22 general medical setting.

23 That used to be the case definition, changing bands
24 over time. You saw that Allan Steere said that earlier, this is a
25 borrelia, borrelia have antigenic variation, you show different

1 antibody profile over time.

2 So we believe what -- how does this apply to the
3 vaccine trial? If few people have lyme disease, and this is
4 Dressler Dearborne criteria will exclude most patients with lyme
5 disease, the vaccine will not be shown to be a failure, or cause
6 adverse events. And we believe that is exactly what happened in
7 this trial.

8 This is the New England Journal of Medicine report
9 of the 1998 LYMERix trial. Only 22 people got lyme disease in the
10 vaccine group in the first year, while there were 515 unconfirmed
11 lyme cases, compared to the placebo group, of 468.

12 The following year is no significant difference,
13 but there were ten percent unconfirmed lyme cases in the vaccine
14 group than there were in the placebo group.

15 As Dr. Luft alluded to earlier this morning, the
16 western blot serology from these unconfirmed lyme cases will need
17 to be reviewed for evidence of other BB specific bands, and
18 compared to the placebo group by an independent group of analysts.

19 If there are any other specific bands besides OspA
20 the case must be counted as lyme disease in the presence of
21 symptoms. Note that there were only two asymptomatic cases in the
22 first year of the vaccine group, versus 13 of the placebo group,
23 and in the following year there were zero asymptomatic cases, and
24 15 asymptomatic cases in the placebo group.

25 We believe that these results do not show that the

1 vaccine is effective at preventing asymptomatic lyme disease, but
2 rather that it is turning asymptomatic lyme disease into
3 symptomatic cases.

4 Continued follow-up on these unconfirmed patients
5 should have been with further western blotting from one of the CDC
6 recommended strains, and the original case definition, which would
7 be to look for changing bands, or any other specific bands besides
8 OspA.

9 Or maybe one of these newer antigens D complexing
10 messenger has been developed at SUNY and by Leonard Siegel.

11 We already discussed this earlier. It was
12 mentioned earlier that an adverse vaccine event can't be
13 distinguished from vaccine failure. An adverse vaccine event in a
14 previously infected asymptomatic lyme patient.

15 An asymptomatic BB infected adverse LYMERix event
16 case may never be detected until the patient is vaccinated and
17 symptoms occur, which we think explains the majority of adverse
18 events regarding LYMERix.

19 Many previously infected lyme cases report systemic
20 symptoms after vaccination, and many find out they had lyme
21 disease after being vaccinated, becoming ill, being tested for
22 lyme disease, and finding other specific antibodies.

23 The FDA should, therefore, not be looking just for
24 arthritis as a potential adverse event, but rather -- and not to
25 the exclusion of systemic illness.

1 According to Allan Steere the rate of asymptomatic
2 infection to symptomatic infection is one to one. So that for
3 every person walking around with lyme disease that has symptoms,
4 there is a person walking around with asymptomatic lyme disease.
5 And we think those people are at the greatest risk.

6 Vaccine failure and exacerbation of asymptomatic
7 infection are identical according to the patient data collected
8 and on the on line VAERS data base.

9 The Dressler Dearborne Steere standard is not a
10 valid criteria for assessing lyme disease, the former CDC criteria
11 of changing bands is more valid. Until there is an independent
12 review of the western blot data from the SmithKline Beecham adult
13 trial, we have no idea how safe this vaccine is, it all needs to
14 be retabulated.

15 Am I done? Okay.

16 CHAIR DAUM: Thank you very kindly, Ms. Dixon. We
17 have next Kay Lyon, followed by Emily Biegel, and Lynn Lane.

18 MS. LYON: Good afternoon. I'm Kay Lyon from
19 Windham Massachussets, a highly lime endemic area. I'm a member
20 of a group advocating for lyme patient rights, and lead a line
21 information and support group in my community.

22 In the past few months members of our group have
23 read through much of what has been written on LYMERix, especially
24 the material provided by the CDC and FDA.

25 Today I would like to present what we see as two

1 realities. The reality facing my community in Essex County,
2 Massachussets, where children play in the woods, and on sand dunes
3 where deer and field mice abound, and the reality constructed by
4 SmithKline Beecham.

5 It appears from our research that the children of
6 Massachusetts and elsewhere have paid a high price to clear the
7 way for the approval and marketing of this questionable product.

8 How can this be, you might ask, when our children
9 haven't been vaccinated? As our group reviewed the material from
10 the government these facts were clear.

11 In spring of 1994 to enable clinical trials for
12 LYMERix, SmithKline Beecham, the CDC, and the FDA held a special
13 meeting to agree on a case definition for lyme disease. We just
14 heard Kathleen talk about the changes that they made, which
15 included a stringent serological definition.

16 In October of 1994 at another meeting in Dearborne,
17 Michigan, these stringent serological criteria were extended to
18 cover all lyme disease studies and serve as the official buyer for
19 doctors to determine what they report as lyme to the CDC.

20 The CDC agreed to these criteria to help analyze
21 data and report. But the criteria were not to be used by doctors
22 to make the diagnosis of lyme disease.

23 The CDC maintained that lyme disease was to be
24 diagnosed based on clinical review of symptoms, patient activity,
25 and possible exposure to borrelia burgdorferi.

1 Despite this recommendation by the CDC when making
2 a diagnosis most pediatricians and primary care doctors refer to
3 the CDC criteria for reporting in an extremely rigid way.

4 As a result our children get lyme disease and are
5 not diagnosed and treated in a timely fashion. Many of our kids
6 get very ill before doctors are willing to treat them with
7 antibiotics.

8 And even then the majority of doctors are not
9 willing to treat a child if he or she does not meet the
10 serological requirements for CDC reporting of lyme disease.

11 The CDC's 1999 initial report recommending the use
12 of LYMERix stated OspA was not expressed in natural lyme disease
13 infection in humans, a statement clearly refuted in the 1998 FDA
14 Hearing on which those recommendations were based.

15 Further research shows the CDC retracted that
16 assertion some three months later, stating that OspA, the antigen
17 used for this vaccine, is in fact expressed with increasing vigor
18 as natural infection disseminates.

19 In light of this correction we must ask that the
20 agency also revisit the recommendation for the use of the LYMERix
21 vaccine. This vaccine is made of recombinant outer surface
22 protein A.

23 Despite the fact that the antibody reactions to
24 OspA and OSPB are highly specific for lyme disease these bands
25 were removed from the CDC criteria for reporting lyme disease.

1 This is a disaster for the children of Essex
2 County, Massachussets. Outer surface protein A is expressed with
3 increasing frequency as untreated infection disseminates.

4 And in Massachussets we see that many of our
5 sickest children end up showing this band on the western blot.
6 However, because of the CDC strict serological criteria the
7 laboratories and the doctors they report to do not consider this
8 band diagnostically significant.

9 We are concerned about the phenomenon of sera
10 positive asymptomatic infection, which Allan Steere has stated
11 occurs as frequently as symptomatic lyme disease.

12 In the last FDA Hearing on LYMERix Pat Coyle called
13 this form of infection smoldering. Many have expressed concern
14 that the vaccine might be a trigger that turns this smoldering
15 infection on, converting it almost instantly into late stage
16 disseminated lyme disease.

17 We also note that in the vaccine trial those whose
18 sera converted were treated with the antibiotic, whether they had
19 symptoms or not. This was, of course, the humane way to treat
20 study participants.

21 But it is absolutely not reflective of medical
22 practice in the real world our children live in.

23 In summary I am presenting to you two very
24 different worlds. In the world in which my family and friends
25 live we have children who live at risk in an environment teeming

1 with the lyme disease spirochete borrelia burgdorferi.

2 We have doctors who almost universally will not
3 treat lyme disease unless it has been confirmed by the faulty
4 criteria set by the CDC for reporting lyme disease, created
5 initially to enable this vaccine.

6 We have children who get bitten and are never
7 treated because our doctors do not understand the CDC
8 recommendation that lyme is a clinical diagnosis, not a
9 serological one.

10 We have children who get bitten and infected but
11 are asymptomatic, unlike their counterparts in the vaccine trials,
12 they are not treated and as Pat Coyle said, they are left
13 smoldering.

14 Because of all of the above it is impossible for us
15 to know which of our children are infected, and which are not. It
16 is therefore impossible to gauge the true safety or efficiency of
17 this vaccine, efficacy of this vaccine in this population.

18 It is also impossible to know which of our
19 children, when challenged by OspA might have a dormant or
20 subclinical infection rev suddenly to late stage illness.

21 On the other hand in the world of SmithKline
22 Beecham data we do find LYMERix, we have an experiment whose
23 success is based, in part, on a set of criteria created to enable
24 the success of the experiment.

25 This is the proverbial circular reasoning

1 scientists are supposed to avoid. There is a significant gap
2 between the world my family, friends, and I inhabit, and the world
3 shown in data defining the study of LYMERix.

4 In light of this for parents everywhere I stand
5 before you to say the gap must be bridged before we consider, even
6 remotely, the notion of vaccinating any of our children.

7 Also, most importantly, the CDC's strict
8 serological guidelines must be changed. Thank you.

9 CHAIR DAUM: Thank you, Ms. Lyon, very much. The
10 next speaker is Ms. Emily Biegel, followed by Ms. Lynn Lane, and
11 Mr. John Hardy. Ms. Biegel, please, thank you.

12 MS. BIEGEL: I'm Emily Biegel but I'm here to talk
13 about my husband John. Some of you may have seen him come in with
14 a walker.

15 John is an active outdoorsman and so I had the
16 bright idea, a year or so ago, that he should -- that we should
17 both receive the lyme vaccine. He had lyme vaccine on April 13th
18 and May 11th.

19 He was frequently exposed to tick bites in his
20 leisure activities, and we thought this was good idea to protect
21 him, although as an aside I should say that we have labradors and
22 golden retrievers, and do not give our dogs lyme vaccine because
23 Cornell doesn't recommend it.

24 So we made a decision for ourselves that we spare
25 our dogs from. In July he started neurological symptoms which

1 were initially diagnosed and Guiambari syndrome, and subsequently
2 in September, when he was not responding, but continuing to
3 deteriorate as chronic inflammatory demyelinating polyneuropathy.

4 And this, really, has -- it was just like
5 floodgates opening to a nightmare that has turned our lives, and
6 the lives of our friends, family, and work colleagues, upside
7 down.

8 Six months later he has had four hospitalizations,
9 a lot of atrophy, insulin dependence, depression, yeast
10 infections, compression fractures, edema, tremors, and 25 plasma
11 for reeses treatments.

12 It is a bitter harvest that we've reaped. His
13 neurologist has -- the neurologist, not we, has reported this to
14 VAERS as a vaccine adverse event. John is now profoundly
15 disabled. He spent 33 years training guide dogs for the blind,
16 walking ten miles a day, doing all kinds of physical activities
17 like gardening in his spare time.

18 Now he does physical therapy, and he sits in a
19 chair with his feet elevated. He bought a kayak a few weeks
20 before he got sick, and every time I look out in that backyard,
21 at that kayak he has never had a chance to use, it is an ugly
22 reminder of how our lives have been changed by a decision to do
23 something that we thought would be helpful.

24 If you tell me that LYMERix is statistically safe
25 to take I will tell you to imagine, for a moment, that you are

1 John, and your life, your work life, your social life, your
2 driving, everything that is part of your day to day functioning is
3 taken away from you.

4 And then you will know that this is a terrible
5 place to be, and the worst of it is that it could have been
6 avoided. Thank you.

7 CHAIR DAUM: Thank you, Ms. Beigel. While I
8 appreciate the sincerity and the effort that it has taken every
9 individual on the program to come and communicate their views to
10 the committee, I would ask that everybody hold their applause,
11 because I think it is important the committee hear and digest, and
12 that we have as much time as available, as possible available for
13 this.

14 So if you would, please, listen and let's emote
15 together, but let's hold the applause in between speakers.

16 Ms. Lynn Lane is next, followed by John Hardy, and
17 Pat Smith. Ms. Lane, please.

18 MS. LANE: Hello. I have handed out several copies
19 of the original story about my lyme disease vaccine trial study
20 experience. There are more available if anybody is interested.

21 I will go back a bit to tell you that I was doing
22 okay managing my lyme disease, which I was unaware I had, until
23 the shots began. Little lumps formed on my kneecaps, and dark
24 discolored patchy rashes were visible on the inside of both knees.

25 Increased connective tissue pain radiated from all

1 points along my spine in waves that migrated to different areas,
2 mostly the left side of my body. Brain fog, paranoia, anxiety,
3 heart pounding, slurred speech, heightened sensitivity to light
4 and sound, visual overstimulation brought on migraines, nausea,
5 vertigo, etcetera. My balance was off most of the time.

6 Grocery stores, malls, driving at night were all
7 impossible to do without getting sick. Meanwhile, my children now
8 ages 8, 15, and 17, and my husband, all with diagnosed chronic
9 lyme disease are prone to waves of most all these symptoms and
10 more.

11 Everyone of us has symptoms seemingly dependent on
12 location of tick bite, and number of times bitten over the years.

13 If I were not directly aware of both sides of this
14 vaccine issue, I would likely have had all my children vaccinated
15 with LYMERix. Thankfully this will not be so.

16 My husband and I heard about the SmithKline Beecham
17 lyme disease vaccine trial studies on a local radio station in
18 1995, offering 350 dollars to each participant. We never received
19 any money, I don't recall why.

20 We unknowingly had been living with lyme disease
21 for years. Tested western blot negative we received all three
22 shots. The symptoms that followed from the second shot on has
23 devastated our lives.

24 I sure would like to know if my husband is
25 considered to be in the 78 percent effective group. He has

1 managed to work over the last four plus years, but not without
2 pain and suffering ever since the LD vaccinations.

3 SmithKline could not find his records. He works
4 outside every day and is a living testimony as to why no one would
5 choose the vaccine if they knew of his adverse event, especially
6 outside workers.

7 I have brought all my symptoms to the attention of
8 both the doctors of SmithKline Beecham, and the investigative
9 doctors involved with the study. They denied my symptoms even
10 existed, and broke their own rules, within the written consent
11 form.

12 That was not their right. When considering money
13 and reputation they have much to lose. I can only hope the truth
14 will prevail. Please acknowledge what is happening to others who
15 have now received the FDA approved vaccine.

16 Before approval my complaints about the lyme
17 disease vaccine seemed not to represent enough people.
18 Unfortunately, I'm sorry to say, that is no longer true. Thank
19 goodness I found a lyme literate doctor, and more than enough up
20 to date information and research on lyme disease than I could
21 fathom would be available.

22 This has empowered me to go back to the fact that
23 doctors only practice medicine. A good patient is someone who
24 learns about the disease him or herself, and then helps the
25 doctor.

1 The doctor must be willing to learn about the
2 disease along with the patient. If not up on the latest
3 information, then behind the times. This concerns both sides of
4 the issue, not just the ones with the most monetary values.

5 We live on Cape Cod in Massachussets, which is
6 considered an area highly endemic for lyme disease. I personally
7 believe it is an epidemic proportion now. Antibiotics have,
8 undoubtedly, helped me to gain back some of my former self. But
9 this continues to be a long, daily, and painfully difficult task.

10 I wish I were back to just living with lyme
11 disease. This vaccine has already harmed many lives. Please do
12 not do this to our children too.

13 I profoundly suggest complete termination of the
14 LYMERix vaccine until further research can develop reliable tests,
15 and better diagnostic tools.

16 Thank you for listening.

17 CHAIR DAUM: Thank you, Ms. Lane. We would like to
18 next hear from Mr. John Hardy, then Pat Smith and Lori Gelbart.
19 Mr. Hardy.

20 MR. HARDY: Good afternoon. I'm John Hardy, I'm 65
21 years of age, live in Georgetown, Delaware, and I'm retired from
22 AT&T as a fuel engineer.

23 I've always been very active in playing golf,
24 hunting, fishing, camping, traveling, and working in our garden,
25 along with taking care of four grandchildren ages four to nine.

1 I have been in excellent health until April of
2 2000. During my physical in 1999 a discussion with my physician
3 about receiving a vaccine for lyme disease due to my outside
4 activities, I received my first and second shots in April and May
5 of 1999 with no side effects.

6 I received my third shot on April the 18th of 2000.
7 The following week I couldn't get out bed with stiffness in my
8 hips, neck, ankle, knees, and couldn't close my hands to make a
9 fist.

10 I made an appointment with my physician, the doctor
11 gave me some reflon, and sexlon, and sent me in for blood work.
12 The lab work showed no lyme disease, showed a high segregate for
13 rheumatoid arthritis.

14 I asked them about the vaccine I had received, and
15 he said he never heard of any side effects. He referred me to a
16 rheumatologist. The rheumatologist had more lab work done, and
17 put me on solvrex, predazone, placmanil and flexarol.

18 My stiffness has slightly improved over the months,
19 but I still have stiff joints, mainly in my knees, my ankles, my
20 hands. My latest blood work has shown no inflammation in my
21 system now, and I was tested for genes HL4DR4 and DR2, which were
22 negative.

23 I really believe that this vaccine is unsafe and
24 should be tested further. SmithKline should also have some
25 accountability with reversal of autoimmune arthritis.

1 If the FDA does not take this vaccine off of the
2 market they need to have SmithKline relabel all packaging and
3 educate all physicians with all the potential adverse reactions.

4 This vaccine should not be approved for children 15
5 and under until all further testing is completed. It is the first
6 time in my life I've had to rely on, or take medication, in order
7 to function in my daily living.

8 Being a better informed consumer is a right, not
9 just a privilege. Thank you.

10 CHAIR DAUM: Thank you, Mr. Hardy. We call next on
11 Pat Smith, who is up at the podium, to be followed by Lori Gelbart
12 and Linda Scharf Lurie. Ms. Smith, welcome.

13 MS. SMITH: Thank you. Mr. Chairman and Committee
14 Members. The Lyme Disease Association's mission is lyme disease
15 education, prevention, and research funding.

16 So one might automatically assume were favorable to
17 a safe and effective vaccine for lyme disease. That is certainly
18 a valid assumption.

19 The Association's board consists of patients, and
20 families of patients, all of whose lives have been personally
21 touched by this disease, and all who are dedicated to preventing
22 others from experiencing the physical, mental, and emotional
23 devastation lyme disease can produce.

24 To that end we fund national research projects,
25 sponsor medical conferences, and continue to work with members of

1 Congress, developing federal legislation, providing 125 million
2 dollars for lyme disease research, physician education, and
3 prevention.

4 I am here today because we do favor a safe and
5 effective vaccine. But we are unsure as to whether an OspA based
6 vaccine can meet those criteria. Since the inception of OspA
7 vaccine trials we have heard from individuals experiencing
8 difficulties after immunization.

9 The information was startling, not only because of
10 the problems described, but also because of the parent doctors
11 incomprehension of those problems.

12 At a vaccine meeting sponsored by the LDF where
13 pharmaceutical reps were discussing how well the trials were
14 going, I questioned, without satisfaction, the issue of these
15 trial patient complaints.

16 After vaccine approval LDA received inquiries about
17 the vaccine. Many from individuals who had received all or some
18 of the vaccination series. Most proceeded to talk about the
19 symptoms they developed subsequent to receiving the vaccine.

20 When asked if they had reported this to the
21 administering doctor, and if the doctor had reported the adverse
22 event, the usual response was that the doctor did not take the
23 complaint seriously, or did not think that these symptoms were
24 related.

25 Sadly none were aware of the HLA DR4 situation.

1 And several were in the midst of the immunization series, and did
2 not know whether to continue taking the shots.

3 Some called to ask if they should get the shots if
4 they had had lyme in the past, a question which appears to have no
5 clear answer, particularly in light of the unreliable antibody
6 response test used to determine who has, or who had lyme disease.

7 A few insisted they had gotten full blown lyme from
8 the shots. And after further discussion indicated that they had
9 had lyme disease in the past.

10 I want to share an email that I received on Monday,
11 and this is a quote. I live in Wisconsin, I received your name
12 from person X who told me you may be able to give me some
13 direction. I received two vaccines in the spring of 2000.

14 A couple of days within the first shot my neck and
15 higher back stiffened up severely. In a month I went back for the
16 second shot, and asked the nurse and doctor to check for side
17 effects before I took the second. They informed me there were
18 none.

19 I took the second dose and the problem with my neck
20 and back worsened within a couple of days. My family doctor gave
21 me anti-inflammatories, but they did nothing.

22 I have tried a chiropractor, but the only relief
23 was for a couple of hours. Never tried one before but I'm getting
24 desperate. Then I went to an orthopedic, and I am now on anti-
25 inflammatories again, but not helping.

1 He told me I have a disk that is somewhat smaller
2 than the others in my neck, and maybe the vaccine somehow
3 aggravated it. Prior to the vaccine I have had zero neck or back
4 problems. I am looking for treatment somehow, some way.

5 I called him, he is 39 years old, he asked me to
6 help him, he wants treatment for whatever he has.

7 Today you are hearing about how this vaccine has
8 physically impacted human lives. It appears that little can be
9 done to stop whatever process triggers some of these reactions.
10 Or if something can be done it remains, as yet, undiscovered.

11 I listened to the despair and bewilderment of those
12 adversely impacted. How can this happen from a medicine to keep
13 me from getting sick, who can help me get better?

14 I can only comfort them, as I do not have any
15 answers, and I don't know of anyone who does.

16 This Committee has the authority to formulate
17 recommendations that may prevent others from potentially suffering
18 the same fate. You can revisit the original data and research
19 which appears to show a link between OspA and adverse reactions,
20 and view it in light of the adverse events you've now heard about.

21 You can recommend further studies, you can find out
22 why many doctors who treat lyme disease are not giving the
23 vaccine.

24 The Advisory Committee on Immunization Practices
25 recommends, under future considerations in their report on the

1 lyme disease vaccine, June 4th, 1999, in the MMWR, "Establish
2 post-licensure epidemiological studies of safety, efficacy,
3 prevention effectiveness, cost effectiveness, and pattern of use."

4 We concur with that recommendation, and would like
5 to see a moratorium on vaccine administration until those studies
6 are completed, and the results critically analyzed.

7 Thank you very much for your time.

8 CHAIR DAUM: Thank you for your time, Ms. Smith, as
9 well.

10 Ms. Lori Gelbart please, and then followed by Linda
11 Scharf Lurie, and Terry Elias. I hope I'm saying that right. Ms.
12 Gelbart, please.

13 MS. GELBART: I'm grateful to have the opportunity
14 to address --

15 CHAIR DAUM: No, not well, sorry.

16 MS. GELBART: Am I okay now? Thank you.

17 I'm grateful to have the opportunity to address
18 this committee, and devastated by the circumstances that bring me
19 before you.

20 Since taking the LYMERix vaccine my life has
21 changed dramatically. Let me explain. My family and I live in
22 Chicago, I have been married for 29 years, have two children, and
23 am a social worker.

24 Most importantly, until I took the LYMERix vaccine
25 I was a healthy and productive person. My family spends summers

1 in southern Maine, in an area with high lyme incidence, where we
2 are surrounded by woods and grasses, viewing deer in the yard
3 nightly.

4 Already following recommended safety procedures we
5 decided to further protect our health by having the LYMERix
6 vaccine. We received our vaccinations at the travel clinic of
7 Northwestern Memorial Hospital, a major teaching hospital.

8 Neither the staff, nor the manufacturer's
9 literature handed to us cautioned us about the possibility of any
10 long term ill effects. We were given no reason to believe that
11 LYMERix warranted different consideration than any other
12 immunization.

13 My husband, 15 year old son, and I had the first
14 two injections in the spring of '99. On May 15, 2000, my husband
15 and I received the third shot. The very next day I experienced
16 body aches, and on May 17th I awakened with severe pain and
17 swelling in my hands.

18 I was unable to bend my fingers closer than 90
19 degrees to my palms. I became incapable of performing activities
20 such as basic personal care, brushing my teeth, cutting food.

21 Since early June I have been constantly medicated,
22 but I still have trouble with my hands. I continue to experience
23 pain in other joints, such as my elbows, my knees, jaw, neck and
24 feet, and I'm usually fatigued.

25 Previously I was healthy and energetic, routinely

1 taking only calcium and vitamins. Only after experiencing this
2 adverse reaction did I learn that there had been concerns
3 expressed about the safety of the vaccine, particularly related to
4 the genotype HLA DR4, for which I have since tested positive.

5 This information most certainly would have enabled
6 us to more realistically judged the relative risks and benefits of
7 taking this vaccine.

8 If we had still believed the vaccine worthwhile for
9 us, I could have had the option of genetic testing to avoid a
10 problem, rather than in response to one.

11 The lack of disclosure of this information had
12 further ramifications for our family. After I became symptomatic,
13 my son was still due for his third injection. To determine
14 whether he should complete his series, I consulted the chief of
15 infectious disease and travel medicine at Northwestern.

16 Because the concerns about a possible genetic
17 vulnerability apparently had not been shared with the wider
18 medical community, this doctor believed my adverse reaction was an
19 idiosyncratic response to the vaccine that would have no bearing
20 on my son's health.

21 I then consulted a physician at Tufts, more
22 familiar with the vaccine, who advised against giving LYMERix to
23 my son. Fortunately Jason had not had the third shot. Imagine
24 how awful it could have been had Jason followed my path.

25 It is apparent that LYMERix, an entirely optional

1 measure intended as a preventive intervention has harmed me
2 physically, emotionally, financially, and has negatively impacted
3 the life of my family.

4 My daily functioning remains compromised. I lack
5 the ability, the energy to maintain my former level of activity
6 and commitments, my ability to work, volunteer in the community,
7 and share activities with my children has drastically diminished.

8 I was only trying to be diligent about my family's
9 health. And as a result I now have a health problem for which no
10 effective solution may exist. I am faced with such diagnostic
11 possibilities as untreatable autoimmune disease arthritis, or an
12 activation of a previous exposure to the lyme bacteria.

13 There are few acknowledged experts regarding this
14 reaction, and no widely accepted treatments. It seems to me that
15 when evaluating the vaccine the possibility of adverse reactions
16 of unknown duration, having no known cure, should receive greater
17 weight than those potential reactions with well understood
18 treatment protocols.

19 My husband and I have always had great confidence
20 in the FDA's approval of medications and its communication with
21 the medical community. We expected that all information which
22 physicians might reasonably need to make recommendations
23 concerning our health would be made available to them.

24 We were not informed that this very group expressed
25 reservations which were not disclosed in the manufacturer's

1 literature. We had no idea that there were unresolved safety
2 issues requiring further study, and that by taking this vaccine
3 our family would unwittingly become subjects of an ongoing drug
4 trial.

5 Doctors and their patients need to be given
6 complete disclosure of a possible risk, as well as the claim
7 benefits. Only then can they make prudent decisions together.

8 We hope that others will have the benefit of all of
9 the information necessary to make well considered choices.

10 This morning I was thinking about your sources of
11 data. Last May, when the nurse at Northwestern called SmithKline
12 to report my arthritic reaction, and to seek information, she was
13 told that there were no problems, just anecdotal reports.

14 They requested no further information about me. The
15 nurse told me that she did not find SmithKline helpful, or
16 concerned.

17 I thank you for this opportunity to share my
18 experience. Thanks for your attention.

19 CHAIR DAUM: We thank you for your effort, and your
20 experience. We would like to call on Ms. Linda Scharf-Lurie next,
21 with Terry Elias following, and then a letter will be read on
22 behalf of Nancy Vroon by Jenny Marra. Ms. Lurie.

23 MS. SCHARF-LURIE: Good afternoon. My name is
24 Linda Scharf-Lurie, and I have been asked to speak on behalf of my
25 daughter Vanessa.

1 Vanessa had a pretty normal childhood and
2 adolescence until the year 1999. She had a horse that she used
3 for exercise and enjoyment. She had competed on him in various
4 venues. They enjoyed jumping and dressage.

5 She volunteered at a therapeutic riding barn, and
6 worked with multiply handicapped children. Her plans were to get
7 her degree in veterinary medicine, and have a small animal
8 practice. She held down a job at a vet's office, and loved going
9 to work and facing the challenges there.

10 In the spring of that year I decided to get her the
11 lyme vaccine. She was in contact with various animals daily, and
12 spent a lot of time in the woods with horses. It seemed like a
13 good idea at the time.

14 She had had a simple case of unconfirmed lyme
15 disease when she was around 12 years old, and it seemed to respond
16 to antibiotics, so I thought LYMERix would be a good idea.

17 My primary doctor looked over the literature, and
18 agreed to give the series of injections. Our lives have never
19 been the same.

20 After the second injection Vanessa complained of
21 ankle pain. I took her to an orthopedic surgeon who couldn't find
22 anything wrong at that time. We sent her for physical therapy and
23 gave her medications. She made the best of it, and never really
24 got much better.

25 She had vague complaints about her joints bothering

1 her, but again she kept plugging along. She developed flu-like
2 symptoms, a rash, and woke up on October 31st, 1999, with
3 peripheral blindness.

4 She was having terrible muscle aches and joint
5 swelling and pain. We went to many specialists. She had a spinal
6 tap, an MRI, Gallium scan, multiple blood tests, including PCRs
7 for lyme, all negative.

8 Finally we decided to test her for HLA DR4 and lo
9 and behold we had a positive. We also had a positive ANA.

10 To this day she continues to test negative for
11 lyme, MS, lupus, Kroen's disease, and all of the other autoimmune
12 illnesses that our doctors assumed were the possible cause.

13 There is no history of juvenile arthritis in either
14 side of our family. Her arthritis just kept getting worse, even
15 with treatments of anti-inflammatories, and all of the arthritis
16 medications on the market.

17 She spent her entire senior year at home, too ill
18 to even walk through the hallways, and put in a full day at
19 school. She missed her senior prom, and any social activities
20 that a normal senior in high school participates in.

21 Her horse could not be exercised, or jumped by her,
22 for a very long period of time. We have taken Vanessa to many
23 specialists in the New York and New Jersey area. They have no
24 explanations for this sudden dramatic change in her health, except
25 the probability that she had a reaction to LYMERix, which somehow

1 caused an autoimmune reaction because of the body's exposure to
2 OspA.

3 I'm not as knowledgeable as this distinguished
4 panel of experts that I speak to, today. But I know one thing
5 with all of my being. It was LYMERix which somehow had this
6 devastating effect on my 17 year old child.

7 I think you have all considered that possibility
8 before today. Maybe after today you will think it is more than
9 just a possibility. You will see that this drug can have some
10 long-lasting dangerous side effects.

11 Just remember, I have been told this by many a
12 doctor in the last year and a half. They can treat and often cure
13 Lyme disease, but they cannot cure an autoimmune arthritis.

14 This is an 18 year old who will never again be able
15 to run to catch a bus, jump her horse with abandon, her life will
16 be forever changed by LYMERix. Please consider this very
17 carefully when making your decisions about continuing keeping this
18 on the market and giving it to children.

19 CHAIR DAUM: Thank you very much, Ms. Lurie. Ms.
20 Elias, then a letter to be read by Ms. Marra followed by David
21 Weld.

22 MS. ELIAS: You had it right the first time --
23 Elias.

24 CHAIR DAUM: Elias. I'm sorry.

25 MS. ELIAS: That's okay. I'm a health care

1 professional licensed in the State of Maryland. I'm also a
2 survivor of Lyme Disease. I am also a recipient of LYMERix
3 Vaccine.

4 I'm not real sure how many people have received the
5 vaccine. If you haven't I challenge you to. Knock yourself out.

6 I'll give you my third dose. It's in my refrigerator. Anybody
7 want it? I don't.

8 I survived Lyme Disease by sheer determination. I
9 stand here today by shear determination and a good dose of
10 Arthrotac.

11 They told me I didn't have Lyme Disease.
12 They told me my child didn't have Lyme Disease. When I presented
13 to my doctor any possibility that I had any problem from the
14 LYMERix vaccine, she jumped down my throat -- literally. I left
15 that office in tears because the HMO's, number one, didn't want to
16 pay for my first two shots.

17 Number two, they don't want to recognize it. They
18 don't want to get involved. Because you know what, they just
19 might have to do a little more paperwork. And then they may have
20 to say you know what, we really shouldn't have given you that shot
21 the day you walked into our office with a flaming infection from a
22 tick bite that was bigger than the size of my hand.

23 But you know what, I was told that it was totally
24 safe. I don't think so. I looked through any FDA file I could
25 find. I combed Smith Klein & Beecham's files, anything, any kind

1 of medical information I could get my hands on.

2 Dosage calculations, contraindications, you name it
3 I did it. There's absolutely nothing. And I'd like to question
4 something a lady asked before. Have you changed any information
5 that you're giving to the public? No you haven't changed a thing.

6 They're still giving the vaccine. There is no
7 information in any of it that says, do not give it if you have a
8 current infection. My doctor told me it was totally safe. No
9 it's not.

10 I was almost going to get it for my 18-year old
11 daughter who now has Lyme Disease, that I kept telling them that
12 she had. Not on a bet. I'll take her to any Lyme Disease
13 literate medical doctor in the world before I would ever consider
14 giving her that vaccine.

15 And I work in the private duty sector. But I live
16 in a small endemic community in backwoods nowhere U.S.A.

17 I drive two hours to go to work on a private duty
18 case that I love. I almost gave up my job because everybody kept
19 saying no, no, no, no, no, no, no, no, you're wrong. And if not
20 for fighting back, like everybody else has, where would we be.

21 I challenge you all. Go to your doctor. Get your
22 first shot. I dare you. Thank you.

23 CHAIR DAUM: Thank you Ms. Elias. We call next on
24 Jenny Marra to read a letter on behalf of Ms. Nancy Vroon who
25 apparently couldn't be here today.

1 MS. MARRA: No. She's in a wheelchair in New
2 Jersey.

3 CHAIR DAUM: Okay. And then we'll ask David Weld
4 and then Pat Easton to speak following. Ms. Marra, please.

5 MS. MARRA: She writes, To Whom It May Concern. I
6 am unable to attend the January 31st FDA Vaccine Advisory
7 Committee meeting due to a restrictive condition, Transverse
8 Myelitis, resulting from the LYMERix Vaccine.

9 In the Spring of 1999, I decided to get the series
10 of LYMERix shots after viewing a very convincing T.V. commercial
11 touting the importance of protecting oneself from Lyme Disease.

12 I felt this would be a good thing to take advantage
13 of since I had had numerous bites from ticks which cause Lyme
14 Disease.

15 I was given the first shot of the series on April
16 20, 1999. Thirteen days later I collapsed completely paralyzed.
17 Many tests at the hospital confirmed the diagnosis of Transverse
18 Myelitis, inflammation of the Myelin Sheath around the spinal
19 cord.

20 After days in Intensive Care at the hospital, I was
21 transferred to the rehabilitation center where I spend six months.

22 After intensive physical and occupational therapy, some mobility
23 returned but I am in a wheelchair most of the time. My life has
24 been drastically changed for the last 21 months.

25 Up to the day I collapsed, I was constantly on the

1 go with meetings of historical societies, community organizations,
2 church activities, house tours, dinner parties, exercise classes,
3 bus trips, theater outings, concerts, etcetera.

4 I used to wear my daughters out just telling them
5 about all of the running around I did. I used to be a world
6 traveler, but now because of the physical limitations I stay close
7 to home.

8 I am able to live at home only with support from
9 family and friends and a paid nighttime caregiver. For the first
10 nine months, after coming home from the rehabilitation center, I
11 required round-the-clock caregivers.

12 Prior to the LYMERix Vaccine, I was in excellent
13 health, completely independent. I strongly urge you to take
14 LYMERix off of the market to spare others the pain and suffering
15 it may cause.

16 Very truly yours, Nancy Vroon.

17 CHAIR DAUM: Thank you very kindly, Ms.Marra.
18 David Weld is next, then followed by Pat Easton and Dr. Kenneth
19 Dardick.

20 MR. WELD: Good afternoon. I'm David Weld,
21 Executive Director of the American Lyme Disease Foundation. Our
22 organization does receive some unrestricted grant monies from
23 Glyco Smith Klein which helps to support our overall programs and
24 services.

25 Let me make it clear that it is the foundation's

1 policy to maintain a strict scientific standard as a basis for all
2 information we disseminate.

3 The American Lyme Disease Foundation is dedicated
4 to promoting Lyme Disease prevention, diagnosis and treatment
5 through educational programs and services.

6 As a liaison between the public and medical
7 research institutions, the Foundation provides easy access to key
8 information that allows people to make wise health care decisions.

9 In particular we stress the importance of
10 prevention and early intervention in avoiding complicated,
11 expensive, and potentially debilitating long term illness.

12 Our efforts are derived from the principle that a
13 clear understanding of lyme disease risk, and how to reduce it
14 both diminishes the fear associated with the disease, and results
15 in proactive precautionary behavior.

16 In addition we believe that lyme disease prevention
17 techniques must target not just people, but ticks as well. As
18 purveyors of a potentially debilitating disease deer ticks
19 represent an almost universal threat in highly endemic areas.

20 Deer tick population reduction is certainly one of
21 the cornerstones of lyme disease prevention research. To this end
22 the Foundation support research focusing primarily on new tick
23 control methods with potential for commercial application, and in
24 the last year provided over 100,000 in funding for such projects.

25 It is our hope that a greater understanding of tick

1 population dynamics, tick host interrelationships, pesticides
2 susceptibilities and other factors will enhance progress in the
3 area of tick control.

4 A third approach to lyme disease prevention
5 involves the transmission blocking method exemplified by LYMERix,
6 the subject of today's discussion. I am not here today to argue
7 in molecular detail the safety of the vaccine.

8 I will leave that task to those more directly
9 involved in the supporting research. Let me be clear about lyme
10 disease prevention. No one method, including the vaccine, is
11 completely effective all the time.

12 The CDC, NIH, Public Health Department, research
13 agencies and the Foundation all recommend that prevention be
14 viewed collectively. With accommodation of precautions, including
15 daily tick checks, the use of repellents, habitat modification and
16 others to be taken in tandem.

17 I will end on this note. Science has much yet to
18 discover about lyme disease. It does not, by any means, have all
19 the answers. As a father of a young daughter who failed to
20 respond completely to standard early lyme disease treatment, I
21 have been faced with a dilemma that every parent in my position
22 experiences, what next.

23 I speculated that science might not help my
24 daughter in this case. But despite its flaws the scientific
25 method is the best we have. It is structured to effectively

1 eliminate subjectivity in a controlled environment.

2 Any anecdotal evidence pertaining to LYMERix or any
3 other vaccine which may be developed, until subjected to rigors
4 replicable study is of limited value in assessing the vaccine's
5 merit, and in determining policy relating to its use.

6 Thank you.

7 CHAIR DAUM: Thank you sir. And I hope you catch
8 your plane. We have Pat Easton followed by Dr. Kenneth Dardick.

9 MR. EASTON: Thank you for allowing me to speak
10 here today. I'm here representing my wife, Carol Sue.

11 My Susie is 17 years younger than I am, and until
12 two years ago she could run circles around me, and out-think me.
13 All that has changed.

14 Let me give you a brief history. In 1998 she had
15 an operation on her back, a bad disc. But during that, and before
16 that operation she was thoroughly checked out, head to toe,
17 because the doctor didn't want to proceed if there was any
18 indication of arthritis.

19 She had a head to toe check out, no arthritis
20 whatsoever. She went through that operation, remarkably she was
21 doing everything she should in that summer of 1998.

22 In November of 1998 we moved from the 95 beltway,
23 250 miles northwest to the mountains of Pennsylvania, got a new
24 HMO, new doctors, the whole thing. That was in November. In
25 about the February time frame both of us went in to our new HMO

1 and did the head to toe check out, both of us, complete physical,
2 nothing wrong with us.

3 At that time it was suggested to us, since we were
4 going to live in the woods, and work in the woods, and what have
5 you, that LYMERix was the way to go. We both took it.

6 She noticed some pain off the first shot, but for
7 her, I teased her and said, that is typical. When you get the flu
8 shot you always get a mild dose of the flu, you know, that is you.

9 And she took the second shot, and immediately
10 thereafter started all the symptoms that you heard many, many
11 times over.

12 I would like to add a few other ones. She is now
13 deteriorated, her eyesight is going. She is losing her mental
14 capacities, too. It is a little tough. For a woman that I was
15 worried on how I was going to keep up with as a 60 year old, it is
16 hard for me to lay in bed beside her and hear the whimpers that
17 she tries to turn -- excuse me.

18 On your reporting system, your VAERS reporting
19 system, it took me 18 months to find it. She isn't even in your
20 thing. We finally got to it, found a copy of it and mailed it in.

21 I have to admit the people that phoned back were very, very
22 cordial, very helpful, and spent a lot of time with my wife.

23 But your reporting system might do well in the
24 beltway, but out where the ticks are, out in the hinterland,
25 nobody knows about it, or they are not telling you.

1 Out in the sticks, and out in the hinterland the
2 doctor, God love her, she tried everything. We have been
3 diagnosed from everything that you ever imagined, down through
4 lupus, tested for and come up no. Because she couldn't believe in
5 her heart that it was the lyme vaccine because she said there is
6 no indication -- she is upset to this day because I brought her
7 from other sources.

8 And she said, why didn't they have that down there,
9 Pat? I apologize, I'm sorry. But she is still upset because she
10 doesn't have the information from you, she had to get it from me.

11 Thank you, sir.

12 CHAIR DAUM: We thank you, Mr. Easton. Dr. Dardick
13 is our final speaker of the afternoon. Is Dr. Dardick here? I
14 think probably not.

15 Are there other people who wish to come forward and
16 speak for five minutes, that haven't made themselves known to us.

17 I see one hand. Would you come to the microphone and identify
18 yourself, please? And this will be our final speaker.

19 MS. BURKE: Hi, my name is Karen Burke. I wasn't
20 planning on speaking, I have no prepared, anything to say. We are
21 here because my husband had the LYMERix vaccine two years ago,
22 actually a year and a half ago.

23 He loved hunting, always outside, we have two dogs,
24 take them out in the woods, love to run. We are also from the
25 Poconos mountains of Pennsylvania.

1 He had his own construction business, loved it, did
2 great physical, physical work. We have two small children, a
3 little boy who is now three, and a little girl who is 11 months
4 old.

5 Anyway, he had the first vaccine in June of 1999,
6 the second dose in July of 1999. By October of 1999 he couldn't
7 get out of bed. Severe swelling, heat from the joints, fever,
8 couldn't walk, couldn't peel a banana, couldn't do anything,
9 couldn't roll over in bed, couldn't pull up the covers, had to go
10 to the bathroom, well guess what, you are not making it to the
11 bathroom, because you can't move to get it there.

12 No one can help you because they have to pull on
13 you or move you, it can't be, they are hurting you, it hurts. It
14 is awful, devastating, it has changed our life completely. I
15 found out I was going to have my little girl July of 1999. Well,
16 I guess that was God's way of letting us have a second child,
17 because the medication that he is on, by the way he takes four
18 medications, they are all damaging in some way to the liver,
19 toxic.

20 Prednisone, which as we all know can cause
21 osteoporosis, they are finding that now, particularly in males,
22 from what I understand. Anyway, we are not able to conceive any
23 more children until he is off these medications.

24 Will he ever be? You know, the standing joke is, I
25 love to kid around, right now I wasn't planning on being up here,

1 I didn't realize I could speak. If I knew it, I would have been
2 prepared. I am like a nervous wreck, you can hear it in my voice.

3 But my standing joke with him is, honey, at least
4 when our kids are big enough and by that point you will probably
5 be on a wheelchair, and you will get us on the rides quicker.
6 Well, you know what, that is a joke, it is not funny, but you have
7 to have some fun in your life.

8 And it is not anymore. He lost his business, he
9 has no more construction business, done. Pretty much a desk job.

10 Thank God he has a job, thank God I have a good job.

11 The point is life has changed, and is it ever, ever
12 going to be the same? I truly, truly believe it came from the
13 LYMERix vaccine. As someone said before, I mean, I know it is not
14 for me to ask you guys questions. How many of you people have it,
15 the vaccine, how many of you people would give it to your loved
16 ones?

17 And if you did, you wouldn't be sitting where you
18 are right now.

19 I really, really believe it came from LYMERix
20 vaccine, just as everyone else has said. Our life has been turned
21 upside down. Fortunately it is not something worse, fortunately
22 it is not something that is going to kill him, or at least we
23 don't know that it is.

24 So I just urge you to consider at least change the
25 labeling, at least let people know that they have genes in their

1 body, that if they carry this gene, in lay terms, they can go
2 ahead, get tested to see if they have this gene before their life
3 is ruined.

4 My husband does have the gene for rheumatoid
5 arthritis. Never knew it. Perfectly healthy, healthy individual.

6 Not any more, completely, completely changed. Functional after
7 three or four o'clock in the afternoon? No. Where is he? On the
8 couch. Is he sleeping? Yes, he is sleeping he is a mess. Two
9 little kids, can't play with them.

10 The point I'm making is it is an awful, awful
11 thing. If you went through it, all I can say is it is
12 devastating, and it is awful, it has turned our lives upside down.

13 Please consider not giving it to small children, or to anybody
14 else, because do you guys finish your study, how many more people
15 are going to be affected, how many more people are going to have
16 this problem?

17 There is just too, too many to say it is
18 coincidental, it is not. That is all I have to say. I'm grateful
19 I had the opportunity to come up here, I wished I would have
20 called and made arrangements to speak.

21 I'm done being a nervous wreck, I'm glad I got my
22 point of view out. That is it, I'm going to go sit down and get
23 some water.

24 CHAIR DAUM: And thank you for taking the time to
25 share your thoughts with us.

1 I have to tell you, sitting up here as a physician,
2 that the stories and the thoughts that were shared with us this
3 afternoon can't help but be profoundly moving.

4 And I can assure you, on behalf of the committee,
5 that your views, your thoughts, your energy and time taken to
6 share your ideas with us today, will be taken into account in our
7 discussion and deliberation.

8 I would like to now take a ten minute break, and
9 then we will begin committee discussion. Thank you.

10 (Whereupon, the above-entitled matter went off the
11 record at 3:08 p.m. and went back on the record at
12 3:24 p.m.)

13 CHAIR DAUM: Welcome back. We are now going to
14 have the -- everybody sort of settle down, please. I know it has
15 been a long day. We will try to get this done quickly so that we
16 can get people on their way, and back to homes or activities.

17 The Committee will now deliberate the issue that is
18 put in front of them by our colleagues at the FDA for discussion.

19 And in this instance we are not going to have a direct vote on
20 anything, but we are going to address this question, this issue.

21 Please discuss the safety data and the plans for
22 continued safety evaluation of the lyme disease vaccine. Appended
23 to that, I've just been told by Dr. Midthun, is that comments
24 about what might or might not be done to the package insert, or
25 the labeling are also welcome during this session.

1 What I would like to do is to first have those
2 members of the committee that wish to ask clarifying questions, or
3 raise points, to feel free to do so for a while. When we get the
4 sense that most of the points have been raised, I will then like
5 to hear this issue of the FDA's spoken to by everyone at the
6 table.

7 So we will begin by people who want to raise points
8 that have come out of today's session, and we will try and get
9 some discussion going on them.

10 DR. DATTWYLER: I will raise something.

11 CHAIR DAUM: Okay, then Ms. Fisher. Thank you.

12 DR. DATTWYLER: On the point of serologies, the
13 original serology recommendations from the CDC panel were not in
14 reference to western blots, they were using an infectious disease
15 principle, acute and convalescent serologies.

16 And the idea was a standard rise in titer could be
17 indicative of acute disease. And I think there was some
18 misconception there that that was in reference to western blot, it
19 was not.

20 The other thing is that the scientific basis of the
21 CDC recommendations, as far as serologies, is not solely based on
22 just the Dressler-Steere study. But, in fact, there were
23 additional studies carried out by members of the CDC Advisory
24 Panel, and CDC itself.

25 So that has been validated through a number of

1 different scientific studies.

2 CHAIR DAUM: Are you raising, clarifying issues
3 with respect to understanding serology for us?

4 DR. DATTWYLER: Yes, that is all I am doing. And I
5 can also say, as a member of that CDC committee, that the vaccines
6 were never discussed in serologic meetings. So that there was no
7 forethought about vaccine trials. We were solely concentrating on
8 serologic issues at that point.

9 CHAIR DAUM: Thank you. Ms. Fisher, you had your
10 hand up?

11 MS. FISHER: I had a question after Dr. Elkins
12 presented, and I would sort of like to ask it to her, and also to
13 SmithKline Beecham.

14 In light of the findings by Dr. Shell that at
15 higher concentrations OspA protein there was an effect. The OspA
16 vaccine preparation contains 30 micrograms of OspA protein, I
17 understand. And the mice that were injected in the SmithKline
18 Beecham study were injected with one microgram of OspA.

19 My question is, could the concentration of OspA
20 protein affect the findings of studies in the animals?

21 CHAIR DAUM: Dr. Elkins has just come into the
22 room, and might not have heard your entire question, Ms. Fisher.
23 Would you mind repeating it for us?

24 Dr. Elkins, this is a question for you and for the
25 sponsor.

1 DR. ELKINS: I am sorry, what was the question?

2 CHAIR DAUM: Why don't you repeat the question,
3 please?

4 MS. FISHER: The OspA vaccine preparation, I
5 understand, contains 30 micrograms of OspA protein. And the mice
6 that were injected in the SmithKline Beecham study, I think you
7 said they injected one microgram of OspA. And I was wondering,
8 in light of what you talked about with regard to Dr. Shell's work,
9 could the concentration of OspA protein affect the findings of
10 these studies?

11 DR. ELKINS: Well, I won't attempt to address the
12 question from the SmithKline experiments with the mice. In the
13 Wisconsin study they used three doses, 30 micrograms, 60
14 microgram, and 120 micrograms, 30 micrograms is the adult dose.

15 And, of course, a hamster is much smaller than a
16 person. The dose response in that study was not very well
17 characterized. They did report that there was less of an impact
18 on joint swelling after infection at the higher dose, the 120
19 microgram dose than at the 30 or the 60 microgram dose, which I
20 think is probably counterintuitive.

21 Clearly there could be dose related effects, but
22 how you would relate those between hamsters and mice, and adult
23 vaccination, is very difficult.

24 CHAIR DAUM: Thank you. Does someone from
25 SmithKline want to deal with that? Is Dr. Lobet here?

1 DR. LOBET: We believe that the use of such a high
2 dose in hamsters is exaggerated, in a way, because it would
3 represent something like, if you compare the body weight, 504
4 higher concentration than what you would use in humans.

5 Further, when injecting the hind paws, you are
6 going to exacerbate an inflammatory process, because in this
7 location it is known that an inflammation would take place. I
8 mean, this site is prone to severe inflammation.

9 We use one microgram in our studies because we find
10 this more relevant to the human situation, and closer to the human
11 situation, as you have seen in the past, using one microgram of
12 OspA was the dose to approach the immune response seen against
13 OspA in humans.

14 And we thought using one microgram of course would
15 reduce the body weight, the concentration, as compared to the
16 hamster study.

17 MS. FISHER: It is interesting that there is no
18 dose adjustment for, you know, one day old infants versus adults
19 in hepatitis B vaccine, so there is no dose adjustment there.

20 DR. ELKINS: There is probably another point that
21 should be reiterated about the hamster study.

22 CHAIR DAUM: Go ahead.

23 DR. ELKINS: Which is that the recombinant OspA
24 used in that study was produced by the investigators, it was no
25 the LYMERix vaccine. And the investigators stated that it was a

1 non-lipidated version of the protein.

2 Although that characterization data was not
3 included in the paper, and the technique used to create the
4 protein would have, from the description given in the paper, been
5 just as likely to produce a lipidated protein. So there is some
6 unanswered questions of exactly what the injected recombinant
7 material was, and how that might compare to the LYMERix vaccine
8 itself.

9 DR. SNIDER: Could I just ask a follow-up? Did
10 they use an adjuvant --

11 DR. ELKINS: Yes, they adsorbed it to one percent
12 alum.

13 CHAIR DAUM: Dr. Griffin is next.

14 DR. GRIFFIN: I just wanted to comment, from an
15 immunologic point of view we don't usually adjust doses in the
16 same way that we adjust drugs, by weight. I mean, frequently, Ms.
17 Fisher is right, the same amount of vaccine is given to a very
18 small person, as to a large person. The same way with animals.

19 DR. LOBET: Sure. But in the case of mice we know
20 that --

21 DR. GRIFFIN: In the case of mice.

22 DR. LOBET: In the study in the mice we know that
23 we get the same immune response in humans with using one
24 microgram.

25 CHAIR DAUM: Dr. Myers, then Dr. Ferrieri please.

1 DR. MYERS: I have two questions. The first one I
2 would like to ask Dr. Ball. I know with VAERS it is very hard to
3 make a comparison of apples and oranges, and so on.

4 But there are 322 cases reported of arthritis,
5 arthralgia, or arthropathy. And there were 44 that reported a
6 severe musculoskeletal diseases. And the manufacturers told us
7 that 1.4 million doses of vaccine have been administered.

8 And I realize that the comparison I'm going to ask
9 for is not a valid one, but give us a sense of perspective.

10 Could you tell us of another vaccine that is
11 directed at the same sort of age group, what type of VAERS report
12 do you get in the same areas? For example, hepatitis B
13 illuminating the pediatric administration, or some other vaccine?

14 Is there some way you could give us a feel for
15 whether 322 and 44 is more than you would have expected, or DT
16 would be another vaccine.

17 DR. BALL: I can't give you the numbers, I don't
18 have that information. But I can tell you that we did look at
19 reporting rates, where reporting rate is the number of events
20 divided by an estimate of the doses distributed, and compared the
21 reporting rates for various coding terms for LYMERix with
22 hepatitis B vaccine given to adults, and also flu vaccine given to
23 adults.

24 And what we see there is that for pretty much every
25 coding term the reporting rate is higher for LYMERix. And then if

1 you specifically look at the coding terms for joint related
2 symptoms, the relative reporting rate, which would mean the ratio
3 of the reporting rate for LYMERix, compared with the reporting
4 rate for, say, hepatitis B vaccine in adults, is also higher, and
5 it is a little bit higher than you see for non-specific coding
6 terms, such as flu syndrome.

7 But, as you are saying, there are a number of
8 caveats to those comparisons, specifically we know that for newer
9 vaccines there is more reporting, and that is suggested by the
10 higher overall rates for LYMERix.

11 We also know that media reports can influence
12 reporting differently, for different vaccines. And we know that
13 age and gender differences of vaccine recipients can also
14 influence reporting. And although we have tried to account for
15 that by just looking at reports in adults for hepatitis B and
16 influenza, we don't have age and gender distribution for the
17 actual vaccine recipients.

18 And it is probably different for people who receive
19 flu vaccines, probably older, and probably a little bit younger
20 for people who receive hepatitis B vaccine.

21 So, overall, as a result we can't really conclude
22 that an increased reporting reflects a causal relationship between
23 the vaccine and the events for which the reporting rate is
24 increased.

25 But it does focus our attention on those events.

1 Now, in this case, we were already focusing on the arthritis
2 reports because of the theoretical concerns. So it essentially
3 reinforced that.

4 CHAIR DAUM: Thank you.

5 DR. MYERS: The second question I had really had to
6 do with a post-marketing studies, and only 3,600, approximately
7 3,600 cases enrolled to date.

8 And given the enrollment problems with the fact
9 that 1.4 million doses of vaccine have been distributed, I
10 wondered what the manufacturer's plans were for trying to rapidly
11 address the problem of getting the data.

12 CHAIR DAUM: Yes, I would like to hear the answer
13 to that, as well. Does someone from the manufacturer want to take
14 that on? Dr. Kahn.

15 DR. KAHN: I think this is a good time to call up
16 Dr. Platt, in fact, to talk about that specific issue, if I may.
17 And at the same time I think it is fair to say the uptake of the
18 vaccine is low, and we've often pondered this ourselves.

19 And there are a number of factors that we think of,
20 is an adult vaccine a personal choice vaccine, it is restricted by
21 geographical and, indeed, seasonal use.

22 And adults, unlike pediatric vaccine, where there
23 are recommendations and plan visits, is quite a challenge to
24 actually get the word out that this is available, and have adults
25 come in of their own volition, and you see that.

1 And I think the negative press must have caused the
2 attitude. It is an obvious thing. So maybe I can ask Dr. Platt
3 about the plans for the future.

4 DR. PLATT: Part of the resolution is the addition
5 of additional managed care organizations to this study, which is
6 already in train, so that the cohort is actually two to three
7 times larger than we were able to report.

8 That is, we will have the data from the beginning
9 of 1999 for all three of the HMOs by the latter part of this year.

10 I do think that it is important to recognize sort of what will
11 exist at that point, because it is because the information you get
12 increases more or less as the square root of the number of cases.

13 Roughly speaking 5000 cases gives you about half
14 the information that 25,000 cases will get. That is not to
15 minimize the importance of getting as much information as
16 possible.

17 But if, for instance we were at the end of three
18 years of recruitment to have twelve and a half thousand cases,
19 half the size we were expecting, we would have something on the
20 order of 80 percent of the information that would come from a
21 25,000 member study.

22 So there really are, I think, two ways to approach
23 this. One is to try to get the additional information that is
24 already entrained, available as soon as we can. And for us that
25 means later in this year.

1 And then I think to evaluate what we see in that.
2 I think there would be -- I personally would have a very different
3 response to seeing no excess in the immunized group versus a
4 modest excess that we can't distinguish from random noise.

5 And we should be there, I think, by the end of this
6 year. That would also, I think, be a time when we could evaluate
7 the prospects for getting other population based sources of
8 information that might be able to contribute to this, either to
9 this study, or a companion study.

10 DR. MYERS: Just a final question and I will be
11 quiet. I take it from the answer, then, that the manufacturers
12 are not planning on other studies, it is a one study post-
13 marketing plan?

14 And are there other investigators that are going to
15 increase the data base? Or is --

16 CHAIR DAUM: I'm not sure whether you are talking
17 about -- are you expressing dissatisfaction that enrollment in
18 this study is going slowly, or are you asking --

19 DR. MYERS: Well, I was asking if there were going
20 to be other studies in addition, because this one is going quite
21 slowly.

22 DR. PLATT: I don't mean to speak for the
23 manufacturer on this. I will tell you that I have looked, fairly
24 diligently, for potential collaborators who could contribute.

25 DR. MYERS: I didn't mean it critically.

1 DR. PLATT: No, I wasn't taking it critically. I'm
2 just telling you that as an investigator who would like to see the
3 study progress more quickly, I have essentially on my own
4 initiative, but with the knowledge of the sponsor, enquired of
5 other potential participants.

6 And I'm unaware of any at this moment. It may be
7 that by next year others that could participate would be willing
8 to do it. But I have talked with, I think, all of the
9 investigators who would be in a position to do this kind of work.

10 And they fall, basically, into two categories.
11 Those who work in environments where lyme vaccine is not used very
12 much, and those who just can't take on the commitment of doing the
13 study at the moment.

14 DR. O'FALLEN: I am not sure I agree with the
15 rather optimistic expressions of the kinds of power that we have
16 after getting only half, or perhaps even only a third of the
17 originally prescribed studies.

18 The standard error of an estimate is reduced by a
19 factor of two only if you increased the sample size by a factor of
20 four. So you really, I think, overstated what we will have
21 available if we don't get a fairly substantial proportion of the
22 original target.

23 And I'm not sure, as I said earlier this morning,
24 that I believe that you will get even as big a group as you think
25 you are going to get, especially from the Minnesota group.

1 CHAIR DAUM: Dr. Coyle, did you have your hand up?

2 Thank you, Dr. O'Fallen.

3 DR. COYLE: I actually had a question, and I was
4 wondering, in the cohort study do you feel very confident that the
5 problems similar are akin to what the patients were testifying to
6 here, would clearly be picked up?

7 I'm wondering about the possibility of including
8 something like a new pain syndrome to make sure that it is picked
9 up. Do you feel confident that all of these patients that if they
10 were in an HMO cohort, your HMO cohort would be picked up, would
11 be detected?

12 DR. PLATT: My belief is that we would. We are
13 providing to FDA a tabulation of all of the ICD9 codes that are
14 submitted, not just the ones that are in that group that are
15 called arthritis, and musculoskeletal.

16 So in the event that these syndromes would be coded
17 outside those ICD9 codes, we would be able to see that signal, and
18 FDA reviewers would see it as well.

19 So I expect that the kind of problems that require
20 many visits to a physician for that problem are the kind that
21 would likely show up as signals in a claims data base, even with
22 all the problems that the claims data bases have.

23 Could I just return to the prior comment? Because
24 I didn't mean to disagree with your statement about power. And I
25 really do believe that recruiting the full cohort would be a

1 desirable thing to do.

2 I just want to be sure that we have a common
3 understanding that the information we received is greatest for the
4 first cases, and marginally less for the later cases that are
5 recruited.

6 We have preliminary counts from Minnesota, and I
7 think that I'm giving you a fair estimate of the cohort size that
8 we will have by the end of the year.

9 CHAIR DAUM: Thank you. Dr. Ferrieri, Dr. Estes,
10 Dr. Diaz, Dr. Goldberg.

11 DR. FERRIERI: Thank you, Dr. Daum. A couple of
12 brief comments, and then some sort of suggestions with, hopefully,
13 response from the sponsors.

14 I chaired this committee in May of '98 when you
15 presented data that led to our recommending to FDA that the
16 product continue in the process for licensure.

17 And you have heard everyone say that we had many
18 reservations, and they are in all the documents that people have
19 received. So I will not reiterate them.

20 But they have surfaced today from many people, and
21 FDA knows what they are. And I think, honestly, that the sponsor
22 has attempted to obtain data that would address our concerns.

23 But here we are, two and a half years later, and
24 really aren't much further along. So the uneasiness that some of
25 us had then, and there are at least two people at the table,

1 perhaps other than I, who did participate on that occasion.

2 I think that the uneasiness then is duplicated
3 today, because the same questions persist. And I'm worried that
4 the clinical data are not going to be forthcoming, that they may
5 be inconclusive, that is worse case scenario.

6 And because of the low uptake in receiving the
7 vaccine, that we may not be able to arrive at that faster.

8 Now, it is quite possible that this is, basically,
9 a reasonable vaccine that fills a niche. And at the time, you
10 know, within five years, two and a half years ago, there was great
11 lay pressure and enthusiasm for having this licensed, for the lyme
12 vaccine to be licensed.

13 So the expectation was that we would have those
14 knowledge gaps filled, perhaps. But if we can't then I think we
15 have to get back to the drawing board and try to attack this from
16 a basic science point of view, and we need more basic research to
17 help understand OspA, the gene, domains of the gene, perhaps.

18 I don't pretend to understand whether the epitopes
19 for protection are different from epitopes that may regulate
20 unfavorable reactions, and arthropathy, for example, or
21 reactivation of something.

22 And, lastly, we might learn from the hamster model,
23 perhaps, if we could manipulate the end result protein from a
24 genetic point of view, and perhaps use the hamster model, we might
25 be able to get to some of these questions that would be applicable

1 to the human vaccination safety issues.

2 And earlier today we talked about the mice, and the
3 lack of data to examine the administration of the OspA after
4 vaccination. I'm sorry, the OspA after experimental infection.

5 But from the hamsters we've learned that the
6 reverse is very intriguing as well, and that is OspA vaccination
7 followed by experimental infection, that is out there for all of
8 us, if we are exposed to the borrelia bearing tick.

9 So I would like you to seek out and get right to
10 your corporate hearts and examine, how strongly you are attached
11 to this vaccine, do you want it to be out there in the market?
12 Because it is like a stock that is losing interest, you know, is
13 this going to be the fate of Amazon.com?

14 I hope not, because you've put a hell of a lot of
15 money into this. But you need to know how far do you want to go
16 with it, how far are you prepared to go to unravel some of these
17 very basic questions in addition to safety issues in human
18 vaccinees.

19 CHAIR DAUM: Thank you, Dr. Ferrieri, I think that
20 was a very helpful comment for us all to hear.

21 I would like to go on with Dr. Estes next. Thank
22 you.

23 DR. ESTES: I wanted some clarification about what
24 studies, if any, are actually ongoing to look at the association
25 between the HLA type and potential reaction to this vaccine.

1 We were told this morning that the cellular
2 immunity studies that have been completed were exploratory, and
3 they were of limited power. And it is not clear to me that Dr.
4 Platt's studies are going to address that.

5 Are there other studies that we don't know about
6 that are planned, that are ongoing?

7 CHAIR DAUM: I'm going to ask the sponsor to
8 address that, but I would also like to hear from FDA folks as to
9 what they know that is going on that may have nothing to do with
10 the sponsor.

11 But let's hear from the sponsor first.

12 MS. HOWELL: I'm Barbara Howell from the clinical
13 research unit for Glaxo SmithKline in the
14 U. S. And I just want to make one point with regard to the HLA
15 typing pre-licensure.

16 You've heard, this morning, that there were
17 basically two studies in which HLA typing was prospectively done.

18 One of them was the lyme-008 study, which was the pivotal
19 efficacy trial in which the HLA typing was done in conjunction
20 with the cellular immunity study in a subset.

21 And as you heard, and as everybody agreed this
22 morning, those studies were largely exploratory. They don't
23 support any association between arthritis and HLA type, but they
24 don't definitively refute.

25 The point I would like to make is that in addition

1 to that we know that in a large efficacy trial which involved more
2 than 10,000 subjects, half vaccinated, and half placebo
3 recipients, that study was prospectively designed to look at a
4 comparison of musculoskeletal events, neurologic events in
5 vaccinees, as compared to placebo recipients.

6 And that based on the prevalence of the HLA DR4
7 allele in the general population we know that up to 30 percent of
8 individuals then, both vaccinees and placebo recipients, would
9 carry the DR4 allele, and that there was no increase in
10 musculoskeletal neurologic events.

11 We have been in discussions with the investigators
12 of a phase IV study to explore whether or not we can look at HLA
13 typing in the context of that study. We were concerned about
14 delaying the start of the study proper because of considerations
15 having to do with logistics.

16 One of the proposals would be that we could
17 potentially look at HLA typing in vaccinees who were exposed, and
18 unexposed, who developed incident arthritic conditions, but
19 perhaps do that only if we do determine that there is an excess in
20 the outcomes of interest, and that would be done further down the
21 line, in the context of that trial.

22 Otherwise we do not have any other plans for HLA
23 typing in humans.

24 CHAIR DAUM: Thank you, Dr. Howell. Would someone
25 from FDA like to speak to, do they know whether anything is going

1 on in this area? Dr. Ball?

2 DR. BALL: I just wanted to repeat what I said
3 during my presentation, that the FDA is sponsoring a study.
4 Initially it will be a survey of people who have reported joint
5 problems to VAERS, and then once we obtain complete information on
6 those cases we will identify arthritis reports and conduct a case
7 control study comparing people who report arthritis after lyme
8 vaccine, with people who report arthritis after other vaccines to
9 VAERS, as well as people who report adverse events, other than
10 arthritis after LYMERix to VAERS.

11 And in that study we intend to do high resolution
12 HLA typing of all the cases and controls, and to compare the
13 prevalence of rheumatoid arthritis associated HLA alleles in those
14 groups.

15 We also propose to look at t-cell reactivity to
16 OspA and LFA1 in those -- in the cases in the control groups.

17 DR. FERRIERI: How many numbers do you project? I
18 was confused, Dr. Ball, about this case control study. What are
19 the projected numbers?

20 DR. BALL: Well, we know right now that we have
21 about 133 reports of arthritic conditions in VAERS. We don't know
22 how many of those will actually pan out to be true cases of
23 arthritis.

24 So once we do our survey and obtain that complete
25 information we will be able to identify the number of cases, and

1 then we would match that with the different control groups.

2 My sense is that we will have something less than
3 100 cases. And so that the study is likely only to detect a
4 fairly large effect at points present.

5 CHAIR DAUM: Does anybody know whether the -- thank
6 you, Dr. Ball. Whether the NIH is interested in this? Because it
7 sounds like it is some pretty basic immunology and microbial
8 genetics to be done here.

9 And I wonder, does anybody know whether that has
10 been declared to be a funded area for someone to be working on?
11 If not we should probably get a sense from the committee that we
12 think it is a pretty important knowledge gap, and that we would
13 like -- we appreciate the efforts of the sponsor and FDA, but
14 would also like NIH to get to work on this as well.

15 Dr. Diaz, I think you are next.

16 DR. DIAZ: Dr. Ball answered one of my two
17 questions, so thank you, I might come back to you later with a
18 couple of other questions about the case control study.

19 But the other question that I had was in regards to
20 the studies that are ongoing now, and your large HMOs. And we've
21 had discussions today, in particular, and I likewise am concerned
22 about the utilization of ICD9 codes, and what gets coded,
23 etcetera.

24 I was just curious if any of the HMOs that are
25 participating are going to participate in this study, by any

1 chance, have any computerized data such as chief complaint, or
2 triage data that could be looked at in addition, to try and mine
3 for effects, perhaps, that may be associated with vaccination?

4 DR. PLATT: HMOs are largely quilts these days,
5 made up of a variety of delivery systems. Harvard Pilgrim
6 includes a multi-specialty group of about 250 to 300,000 that has
7 a fully automated medical record.

8 And so those individuals are included in the data
9 that I showed you. There we are not limited to the number of --
10 to the number of diagnosis allowed on a claims form. We search
11 all the diagnosis that are used there.

12 So we have, essentially, the full automated medical
13 record in that case. And health partners also has a more limited,
14 a fraction of the health partners population I understand also
15 has full, has automated medical record capabilities.

16 So for something on the order of 20 percent of the
17 population that we are describing, there is more than just billing
18 data that is available.

19 DR. DIAZ: If there is, that data might be useful
20 to look at as a subset. Just to compare chief complaint and final
21 diagnosis in terms of validity.

22 DR. PLATT: So we can subset that out, understand
23 that we are, at the moment, looking at serious conditions as
24 manifest by hospitalization there are very few events. So it will
25 still be very few events if we look at a subset.

1 DR. DIAZ: I'm sorry, I misunderstood. So the data
2 that you have, in terms of full medical record, is only for
3 hospitalized patients?

4 DR. PLATT: No, I'm sorry, I didn't say that well.
5 We do have, now, the full medical record data is only for the
6 ambulatory care. That information is included in the data we gave
7 you, and we can subset that out.

8 DR. FERRIERI: I'm from Minnesota but don't know
9 health partners well enough to know how far along they are. But
10 it would be my assumption they have a centralized data base now
11 from all of their hundreds of clinics you would have everything
12 feed into a central center, then, Rich?

13 DR. PLATT: We have access to all the data that
14 health partners has, centrally. But health partners has a
15 substantial part of health partners, I understand, about two
16 thirds of it, is physicians basically in separate practice who
17 don't have automated data.

18 So I think they too have sort of a two-tiered data
19 quality configuration, in much the way that Harvard Pilgrim does
20 for 20 percent of our population we know enormous amounts of
21 information. And for the rest we have billing data, and my
22 understanding is something like that is true for health partners.

23 CHAIR DAUM: Dr. Goldberg, please.

24 DR. GOLDBERG: Dr. Platt, the question that you
25 just answered about the 20 percent of the population with complete

1 data --

2 CHAIR DAUM: Would you speak into the microphone?

3 DR. GOLDBERG: The 20 percent of the population
4 with complete data would speak to the kinds of questions that were
5 being asked this morning for a substudy to compare the diagnosis
6 there with their billing diagnosis, and then compare that to the
7 total population.

8 You also said that 14 percent of your population
9 turns over yearly at the Harvard Pilgrim. From the kinds of
10 discussion that we heard this afternoon, if the complaints, or if
11 short shrift is given to the complaints, these people might be
12 more likely to leave the system.

13 I mean, have you thought about -- you talked about
14 the fact that you would be unlikely to miss a diagnosis, a code
15 that was recurring, or if somebody kept coming back, even if it
16 wasn't in the first few visits it would be in a later visit.

17 If the patient was to be told this is not something
18 we are going to deal with, which I'm hoping doesn't happen, you
19 could lose that patient to the system, completely.

20 Have you got some ideas about how you might
21 address those sorts of issues?

22 DR. PLATT: I can say, as a general phenomenon, the
23 member satisfaction data suggests that he members, in fact, by and
24 large are very satisfied. And the turnover is actual bimodal.
25 That is there is much more rapid attrition for new members, and

1 then much lower attrition for members who have been -- for
2 individuals who have been members for three years or so.

3 Much of that change in membership has to do with
4 employee's decisions about the insurance company --

5 DR. GOLDBERG: I understand that.

6 DR. PLATT: So it is a complicated business to
7 understand. And I think what we can do is provide basically sort
8 of a life table analysis of the duration of membership after
9 immunization, and even the number of visits after immunization,
10 which I think would give us some sense of whether people are
11 leaving soon after they are immunized, or whether they continue to
12 have encounters for other diagnosis.

13 DR. GOLDBERG: I have a question for the sponsor.
14 Given that the vaccine is --

15 CHAIR DAUM: Dr. Goldberg, I have a number of names
16 lined up here, but why don't you go ahead. But let's try -- Dr.
17 Goldberg will go, then Dr. Stephens, Dr. Luft, Dr. Manley.

18 DR. GOLDBERG: For the sponsor. I mean, given that
19 the vaccine is really not out there massively, have you considered
20 some kind of registration with each immunization so that you had
21 developed a registry of vaccinated individuals who then might be
22 able to be used for case control study that could be completed
23 more rapidly than the kinds of things that you are involved in
24 now?

25 DR. WEADON: While we are deciding on someone else

1 to respond to this, I want to come back, I will answer that
2 question, but I also want to address an issue that was raised just
3 a little bit earlier.

4 And that is that we are no further along than we
5 were two years ago at the time of licensure. I think we need to
6 remember that as Dr. Francoise Meurice, and Dr. Bernard Hoet have
7 shown, our overall control safety data base has doubled from the
8 time of licensure.

9 So we've added -- we've had a doubling of that
10 control safety data base. Additionally, as you've heard from Dr.
11 Platt, we've enrolled in the phase IV study, albeit not at the
12 rate we would like to see, some 2,000 enrollees, actually 3,000,
13 we don't have all the data for that additional.

14 We've heard from the post-marketing adverse
15 experience data base that that, given the considerations outlined
16 by Dr. Ball, is one that is aggressively and continually reviewed.

17 So it is not that we have not progressed from where
18 we were two years ago, we have progressed. And the questions have
19 been asked, over and over again, and the answers have, to date,
20 been consistently the same.

21 That the adverse event profile that we saw pre-
22 licensure, have been corroborated in all of the various domains in
23 which we've asked the question.

24 However, the effort has not stopped. We will
25 continue to look very carefully at how we can enhance the accrual

1 into the phase IV study. We have not, to my knowledge, looked at
2 a patient registry situation. And my colleagues here are shaking
3 their heads, that that is not something that we have considered to
4 date.

5 So that is not something we have discussed with the
6 agency at this time.

7 CHAIR DAUM: Thank you. I think that you are
8 hitting on an important issue that the committee is shortly going
9 to be asked to address. And that is that in their view, the
10 committee's view, do they feel that the safety profile at the time
11 of licensure, and the safety profile now, have changed in a way
12 that should concern us.

13 And has it, or hasn't it, or do we know? And I
14 think those are the kinds of data or opinions, at least, that the
15 FDA would like to hear from us about. And there will be a couple
16 more things that I will charge you with shortly to comment on.

17 But I would like to hear from Dr. Stephens, then
18 Dr. Luft and Dr. Manley.

19 DR. STEPHENS: I would like to follow up on a point
20 that Dr. Ferrieri raised a minute ago about basic mechanism of
21 this vaccine, which I still don't understand.

22 Can you clarify, can the manufacturer clarify the
23 issue of how you think this vaccine works? The data suggests that
24 it neutralizes OspA in the tick as the basic mechanism. But I
25 have trouble with that particular, that that is the only

1 mechanism.

2 And secondly an issue we raised this morning about
3 the lipo protein component of this vaccine, what is the lipid, can
4 you clarify that, are there any evidence that antilippid
5 antibodies, cartiolithen, for example, are produced in response to
6 this vaccine?

7 PARTICIPANT: To answer your second question we
8 have no evidence of that, indeed, we don't know that.

9 DR. STEPHENS: I'm sorry?

10 PARTICIPANT: To answer your second question we
11 don't know that.

12 To answer your first question you mentioned --

13 DR. STEPHENS: I'm sorry, you haven't looked at the
14 lippid that is contained in this vaccine?

15 PARTICIPANT: If we have looked in the lippid, at
16 the lippid?

17 DR. STEPHENS: What is the lippid portion of the
18 protein.

19 PARTICIPANT: Those are palmodic acid at the
20 interminus of the protein through its natural processing. This is
21 a mechanism that is very common through, in many bacterial
22 proteins. This is during the process.

23 DR. STEPHENS: What is the lippid component of the
24 vaccine, structural?

25 PARTICIPANT: Structurally those are palmodic

1 acids, three palmodic acids at the end of it.

2 DR. STEPHENS: And the e coli vector puts those on
3 in the same way that borrelia does?

4 PARTICIPANT: Yes. This post-transitional
5 modification is something that is common to many bacteria.

6 DR. STEPHENS: I appreciate that, but there is a
7 lot of difference in how bacteria may attach certain fatty acids
8 to their proteins.

9 PARTICIPANT: I agree. We have checked, and the
10 profile, the lippid profile of the protein producing e coli is
11 similar to the one observed in the protein produced by borrelia.

12 DR. STEPHENS: So the lipid portion of the protein
13 is the same as that produced by borrelia?

14 PARTICIPANT: Yes.

15 DR. STEPHENS: Now, the follow-up question has to
16 do with any evidence of antilippid antibodies produced by the
17 vaccine.

18 PARTICIPANT: We will look at that.

19 CHAIR DAUM: You've not looked at that?

20 PARTICIPANT: No. The first question you asked was
21 about the mechanism --

22 DR. STEPHENS: The expert, presumably the tick, the
23 OspA in -- and that data, I think, goes back to the '92 study
24 looking at immunofluorescent data in ticks with or without the
25 vaccine.

1 Is there any other follow-up data to talk about how
2 this vaccine works?

3 PARTICIPANT: Well, all the more recent data still
4 confirm that the mechanism, as it was described at that point, and
5 you have to take into account two aspects. The first is that OspA
6 is expressed when borrelia is in the midgut of the tick, that is
7 one.

8 And so when the tick ingests some blood, or some
9 serum containing anti-OspA antibodies, it could be killed,
10 borrelia would be killed within the tick midgut.

11 This is one point. Now, all the clinical
12 experiments that have been conducted since then, using direct
13 challenge experiments, show that you will clean the ticks from
14 their borrelia infection when they feed on animals that have been
15 immunized with OspA. Does this answer --

16 CHAIR DAUM: I think so. We are going to move on.
17 There is three more people lined up on the question list, and
18 then I'm going to begin the process of eliciting some summation
19 comments from the committee., based on this discussion.

20 Dr. Luft you are next, then Dr. Manley and Dr.
21 Diaz.

22 DR. LUFT: I just wanted to comment about the
23 lippidation. That the actual lipoprotein, the fact that it is
24 lippidated does almost act as a mitogen, and it gives a whole host
25 of other -- so, I mean, that is --

1 In a way I feel like I'm almost in a twilight zone
2 when we are talking about surveillance and these adverse events,
3 and I forgot the name of the -- one of the vice presidents from
4 Smith Kline.

5 What disturbs me is that in the SmithKline
6 presentation there were 950 adverse events. There was a nice
7 presentation of that. And this afternoon we heard testimony from
8 20 individuals of 20, of approximately 20 people who had very
9 significant adverse events.

10 And the disconnect for me is I'm hearing that, and
11 I'm seeing that data, and I don't see any reflection of one to the
12 other as if we were in two different universes.

13 I'm not ascribing what the validity is to these
14 complaints. Certainly I was moved by it. But the fact of the
15 matter that it didn't even enter into the discussion, or into the
16 charts, or the tables, is disturbing.

17 And there is some problem in the actual, the
18 adequacy of the surveillance that is currently going on, in that
19 we are not seeing that data in the company's presentation.

20 And it goes back to my original point about the ICD
21 codes. I think in this particular situation, where you may have
22 an Amazon.com, you have to be able to get assurances, you have to
23 be able to feel secure, you have to make sure that actually there
24 is a very active surveillance system that is going to out, that is
25 going out and actually pulling in these types of cases.

1 And I think that that is something that we have to
2 consider. I don't think the idea of a passive type of system, or
3 a system that is going to take three to five years to kind of
4 figure out whether we had an adequate power, or whether we had an
5 adequate input of the right information, or whether we were --
6 whether we cast a wide enough net will really be adequate.

7 And I invite the sponsors to give me some insight
8 as to why there seems to be this discrepancy. But, in a way, I
9 think I'm just restating the obvious. This is -- I mean, I can't
10 --

11 DR. MANLEY: That is my concern, specifically, so
12 if I can speak now, because it is the same question.

13 CHAIR DAUM: Why don't you, and then we will get an
14 answer for both questions from the sponsor.

15 DR. MANLEY: I echo that concern, and had a couple
16 of questions which, I guess, this could help us.

17 How can the manufacturer, or is it the FDA assure
18 the committee that we know what the physicians are doing, and
19 saying to patients, and what kind of information patients are
20 getting before they agree, because since it is not an active
21 surveillance system, how can we be assured, with some degree of
22 comfort, that patients know what some of the side effects, or some
23 of the things that are being reported about the vaccine, before
24 they get it?

25 And that is really tied to the other question about

1 how can we assure that we have better more active surveillance now
2 that the vaccine has been approved.

3 CHAIR DAUM: We will ask for a bicameral response.

4 We will hear from the sponsor, and then I think we should hear
5 form the FDA about this, also.

6 DR. WHEADON: First of all let me say that we, as a
7 manufacturer of pharmaceutical products and vaccines, take any
8 report of an adverse event on any of our products, seriously.

9 And certainly the things that we heard today we
10 take seriously. That is notwithstanding we have to also
11 understand that the way the post-marketing reports surveillance
12 system works in this country, not just for LYMERix, but for all
13 vaccines, for all drugs, you do not in how these things are
14 collected capture the emotion that we heard here today.

15 I'm not saying that that is belittling, or
16 minimizing what we heard. But the way the system is you take the
17 sort of emotion and the gestalt, and the stories that we heard,
18 and you have to then transfer that into event terms like
19 arthritis, like arthrosis, like congenital deformities in the case
20 of whatever.

21 It all goes into a data base where you do your
22 analysis as objective, and as scientific, and in as rigorous a
23 fashion as possible, to discern whether or not there is, indeed, a
24 signal.

25 And that is something that you've heard Dr. Ball

1 talking about, that is something you heard Dr. Hoet talk about,
2 and that is something that we do on a daily basis.

3 So the fact that what we present on the screen did
4 not carry the same weight, emotionally, as what you heard today, I
5 can't give you a better explanation than what I've just given you.

6 But I can assure that any and every report that we
7 are made aware of is captured and included in the analysis that we
8 presented to you today.

9 CHAIR DAUM: Does anyone from the agency want to
10 comment on these two questions? Dr. Ellenberg, Dr. Ball?

11 DR. KEITEL: Yes, I just want to make a specific
12 comment. One of the difficulties we have with VAERS is we often
13 get incomplete information. So one of the specific reasons we are
14 doing a follow up survey focused on reports of joint problems, is
15 to get complete information, both from patients and from their
16 medical records, in the hope of capturing more of the information
17 about exactly the course of the adverse events that are being
18 reported.

19 DR. MANLEY: My question really related to before
20 the adverse events. I am still concerned about what level of
21 information is transmitted to patients, and how can we be assured
22 that they are getting the information they need prior to the
23 immunization?

24 And can anyone answer that question?

25 DR. MIDTHUN: There are a number of different

1 things that we can do. I mean, we obviously start with having the
2 package insert or the label. And I think we've heard a lot of
3 discussion today about things in the label that could likely be
4 better addressed.

5 And we have communicated with the sponsor, and
6 asked them to address certain issues that have arisen since
7 licensure, and as they indicated, they are working on that, and we
8 are awaiting their response shortly, because obviously this is a
9 very important issue.

10 I think that the label, itself, is primarily
11 designed for physicians. There is a section in the precaution
12 that says patient information. But it is more information that
13 the physician is given to relay to the patient.

14 And I think that one of the things that we can
15 consider are other avenues such as patient package inserts, or med
16 guides, or other sorts of things to get information directly to
17 the patient.

18 And I think that, you know, we invite comment on
19 that in the discussion.

20 CHAIR DAUM: Over and above the package insert
21 maybe Dr. Snider might comment, the CDC and the American Academy
22 of Pediatrics have developed little lay language information
23 sheets for vaccines. I have no idea, does such a thing exist for
24 the lyme vaccine, and is it routinely deployed and available?

25 DR. SNIDER: There is a vaccine information sheet

1 prepared for most of the childhood vaccines. I'm not aware of a
2 vaccine information sheet that is used for lyme. Is there one?

3 DR. MIDTHUN: I think there is one.

4 DR. MIDTHUN: I didn't see one in our package.

5 DR. ELKINS: At the time of licensure we were asked
6 to comment on a CDC draft of one, and did so, and it was my
7 understanding that it was proceeding through the vaccine program
8 office. But I confess I'm not quite sure of its ultimate fate.

9 CHAIR DAUM: Well, I think the committee is going
10 to suggest that the word go out that we think that should be
11 prepared quickly, and deployed fairly aggressively to people who
12 are about to be immunized.

13 DR. FERRIERI: I must say that in my experience it
14 is uncommon for physicians to read package inserts of drugs, or
15 vaccines, and they are depending on what their nurses may say and
16 read.

17 But I would never rely on a patient hearing from a
18 physician who has read the package insert, and all the details.
19 You can get all this information off websites, and it is
20 voluminous data, and at submicroscopic level of reading it isn't
21 easy to get through all of it.

22 You have to be very, very motivated to do that, in
23 my opinion.

24 DR. MANLEY: I agree, and that is the basis of my
25 comment. That this is intended for the physician and not the

1 patient. And if a patient has to sign that they have read the
2 material prior to receiving the vaccine, you have a completely
3 different situation in your hands.

4 CHAIR DAUM: At least in part. Dr. Diaz, you have
5 been patient.

6 DR. DIAZ: Well, likewise I would just second that
7 a vaccine information statement could be very useful in a setting
8 like this, for patients.

9 I had two comments. One was something that Dr.
10 Goldberg brought up that actually I was -- when I commented on
11 this, the plan case control study that I was wondering, also, I
12 know there are many states that are developing vaccine registries,
13 and I think Maine is one in particular, and I don't know about the
14 rest of the East Coast, and at what level they have done so, nor
15 whether adult vaccination is really entered into that.

16 But I bring that up as a potential if such exists
17 that one might be able to, very quickly, identify larger numbers
18 of individuals who have been vaccinated, and perhaps add them, or
19 work with them in a differently, perhaps, study.

20 The one comment that I wanted to make that I guess
21 is really disconcerting to me, in a sense, is that we don't really
22 have any background population base data, that I'm aware of,
23 regarding some of the findings that are being reported by
24 individuals in association with this vaccine, and how they occur
25 in populations regardless of vaccination, ie, rheumatoid

1 arthritis, or transverse myelitis.

2 I recognize the difficulty with some of these
3 diagnosis, and arthritis, as an example, putting all arthritis
4 together, is -- which may be multi-factorial, could be a problem.

5 And yet it is still very disconcerting to me that
6 the only thing, the closest I think I came to seeing anything
7 suggestive of knowledge of the general population was when someone
8 made the comment we would expect to see more women than men
9 reporting rheumatoid arthritis, and that was the closest we came.

10 I don't know if the data exists, or how poor the
11 data perhaps is. But, additionally, not having that information,
12 and not having that information age stratified makes trying to
13 sort this out really difficult.

14 DR. BALL: We have tried to look at background
15 incidents for the arthritic conditions. And, as you are
16 suggesting, there is not much data, only really for rheumatoid
17 arthritis is there some population base data, and even that is
18 fairly limited.

19 And as you've also just alluded to, we have the
20 additional problem of not knowing the age and gender distribution
21 of vaccine recipients, which both of those factors influenced the
22 incidence of rheumatoid arthritis.

23 And then there is a number of other limitations in
24 trying to apply that to sort of observe versus expected analysis
25 of the reports that we receive.

1 DR. DIAZ: And I agree, and I kind of expected that
2 answer, and I guess when we talk about things that might be done,
3 it seems so many times we are sitting here, or other places, with
4 the same kinds of questions, you know, how much of this is
5 occurring in the general population, vaccinated our unvaccinated.

6 And if there was any way to quickly try and
7 identify that information in some form or manner, again, I realize
8 it won't be pure, but that might be very helpful in the long run.

9 CHAIR DAUM: Thank you, Dr. Diaz. I would like to
10 -- did you want to make one last comment?

11 DR. O'FALLEN: Well, pertaining to this issue we
12 certainly have the age and sex distribution of the over 10,000
13 subjects who participated in the pivotal study.

14 And I asked exactly this question this morning,
15 what was the expected numbers, and obviously they didn't know.
16 And clearly the rates for rheumatoid arthritis are available for
17 several different kinds of populations, and that could easily have
18 been assessed.

19 And pertaining to the disconnect, a number of the
20 people that we heard from today said they participated in that
21 clinical trial, and the adverse effects that they reported were
22 never allowed to be reported in that clinical trial.

23 We had a very small subset of those people in which
24 adverse events were systematically sought out. That has been very
25 disturbing to me throughout the entire discussion.

1 CHAIR DAUM: Thank you very much. I want to take
2 Dr. Estes question, and then I'm going to pose some scenarios for
3 the committee, and ask for some comment from each member. Dr.
4 Estes.

5 DR. ESTES: Well, I think this is a vaccine that is
6 used in some very specific areas, and we've heard comments today
7 from people who feel they've had an adverse event from taking the
8 vaccine.

9 What we haven't heard, and maybe this is not
10 something that is normally done. But there must be data on
11 practices, or specific physicians who use this vaccine.

12 And this question came up because I recognized a
13 physician in the audience who recognized some complications with a
14 previous vaccine. And that physician, themselves, actually
15 brought this forward and it turned out to be a real event.

16 Are there physician comments, are there physicians
17 that are very happy in routinely giving this vaccine, and they
18 just don't see a complication with it? Are some of these
19 complications when we have a new physician in a new area, perhaps
20 a patient that goes to the physician and for the first time asks
21 them to give the vaccine.

22 Are some of these events occurring in those
23 isolated areas where there might be another reason of why there is
24 a problem?

25 CHAIR DAUM: Well, I'm a pediatrician living in a

1 pretty lyme-free area. So maybe I will ask Dr. Datwyler to
2 comment on this.

3 DR. DATTWYLER: Well, one of the things that strike
4 me, and I will answer indirectly, is that what we are talking
5 about we didn't see it in the 10,000 initial study. A big
6 problem.

7 But if something is fairly uncommon it would slip
8 through. And the highest incidence of this disease is from Rhode
9 Island to Maryland. And that is not what is being looked at.

10 And I think that there are many physicians in those
11 regions that have probably given a lot of vaccine, and that is
12 probably where the bulk, that is where the bulk of the disease is,
13 that is where the bulk of the patients who receive the vaccine is.

14 Why don't we encourage a large active study to get
15 to these -- get enough power to answer the question that really
16 needs to be answered, is there a problem, is there a low event but
17 a bad thing happening out there that we have to know about.

18 And none of the data, to this point, tells us that.

19 And I totally agree with you that there are, probably, physicians
20 who have vaccinated hundreds of people in these endemic areas, and
21 shouldn't they be the ones that are the targets of a very active
22 study, and you can figure out, in their practices, if you can
23 match them with the vaccinated population, and get on with the
24 study and do it.

25 CHAIR DAUM: Thank you, Dr. Datwyler.

1 DR. SNIDER: Dr. Daum, could I clarify the issue
2 about the vaccine information sheet?

3 CHAIR DAUM: Certainly.

4 DR. SNIDER: We went out and checked on it. For
5 people who are not familiar, there are vaccine information sheets
6 that are required to be developed in relationship to the vaccine
7 compensation program. And so those vaccine information sheets are
8 official, and are really required that physicians use them.

9 But there is a vaccine information sheet that has
10 been developed for LYMERix. And even though it is not an official
11 one, there is one available. And perhaps it needs to be more
12 widely used.

13 I don't have any information about how widely
14 promoted and used it is, but one does exist.

15 CHAIR DAUM: You may hear, in the comments, as we
16 go around, that people would like it put out pretty aggressively
17 by CDC, and made known that it exists, because it sounds like
18 people didn't necessarily know that it does.

19 I would like to try to move to another phase of our
20 discussion now, and see if we can do that. And that is to deal
21 with the FDA's discussion issue. And to refresh everybody's
22 memory is to please discuss the safety data and the plans for
23 continued safety evaluation of the lyme disease vaccine.

24 And I would like to make a couple of focusing
25 comments before we call on members to make their own comments.

1 And that is that we had, as Dr. Ferrieri
2 articulated beautifully, a safety profile view of this vaccine
3 several years ago at the time our opinion was being sought prior
4 to licensure.

5 And I think the question, as I understand it, that
6 the FDA would like us to think about, has your view of that view
7 changed? In other words, is the safety profile we are hearing
8 about today, in aggregate, both from the manufacturer, and from
9 the FDA, and from the reports from people who journeyed here to
10 talk to us, has something changed? And if so, what kind of
11 response should be made toward that change?

12 In there you heard considerable detail about
13 programs that have been put into effect by FDA, by the sponsor, to
14 continue to gather safety data. In your view, are those adequate?

15 Are there enough things in place to capture the information you
16 believe we need?

17 Dr. Midthun asks us to also extend this to the
18 package insert, irrespective of your views of who reads it. Do we
19 need to revise it, is it adequate, is it disclosing sufficiently?

20 WE've heard comments that could be reiterated as we
21 go around the table. There is, obviously, a lot of basic science
22 missing. I don't think it is the sponsor's sole prerogative to
23 provide that basic science, but this committee is well situated to
24 make a statement that we need it.

25 What do we need? Let's hear it.

1 And finally, I've heard a couple of calls for
2 active surveillance of vaccine side effects. That is quite an
3 undertaking. And if you really mean it, when it gets to be your
4 turn give us a sense of how would you do that, and how would you
5 gather data like that, who would pay for it, and how would the
6 data be analyzed and collated.

7 Other things that people wish to sort of raise and
8 reflect on as we go around are welcomed as well. And to be,
9 variety is the spice of life, so we are going to start today with
10 Dr. Estes and go around to our membership this way. Thank you
11 very much. Dr. Estes.

12 DR. ESTES: Well, I was not at the Committee
13 meeting when the vaccine was originally licensed, but I think I'm
14 struck by several things.

15 First I think that Lyme Disease is an important
16 disease. I think it's a disease where a safe vaccine could be
17 very important to our population.

18 I think that this may be a safe vaccine, but I
19 think my bottom line when I look at everything, and I look at what
20 the recommendations were by the Committee made two years ago, my
21 assessment is that we haven't come too much further past beyond
22 those in terms of answering the questions that the Committee
23 wanted to have answered two years ago.

24 I personally have some questions about how some of
25 the studies were stratified relative to previous self-reporting

1 versus Western Blot data.

2 That's not an area where I'm an expert but I would
3 like expert people to really look at that carefully from some of
4 the original studies.

5 I found that the studies on the cellular immunity
6 not to be convincing and I think additional studies need to be
7 done.

8 The studies that were done in the mice did not
9 address, for me, any issues relative to whether this vaccine does
10 or does not exacerbate infection with lyme disease.

11 I think the pregnancy registry was a start but it's
12 certainly not complete and I didn't come to any conclusions with
13 regards to that. I think the VAERS data is very important but we
14 certainly heard all of the limitations of that data.

15 I think the follow-up studies there are extremely
16 important and need to be done. I'm concerned about the Phase IV
17 Study. I think everybody's heard really the specific concerns
18 about what -- where we get the data.

19 I don't think it's coming fast enough and I think
20 other studies really need to be designed to look at the safety of
21 the vaccine.

22 CHAIR DAUM: Thank you very much. We're off to a
23 good start. That was very well articulated. Ms. Fisher, please.

24 MS FISHER: Well, as the consumer member of the
25 Committee, I want to thank the members of the public for coming

1 here and telling what happened to them and to someone they loved
2 after being vaccinated.

3 I know how hard it is to do that in this kind of
4 forum and if I had been in the audience I would have applauded too
5 to give you moral support.

6 Last night as I was reviewing the information we
7 were given on Lyme Disease and Lyme vaccine, it became more
8 apparent as I kept going through it, that it was a different
9 disease, different vaccine, same story.

10 The reluctance, or the willingness of industry and
11 doctors to write off adverse events following vaccination as
12 coincidental, is widespread, and it absolutely impacts on the
13 vaccine adverse event reporting to -- to VAERS.

14 At the National Vaccine Information Center after 19
15 years of receiving vaccine adverse event reports, the number one
16 high risk factor that we have identified, is doctor's continuing
17 to vaccinate in the face of clear adverse event symptoms.

18 And some children are literally vaccinated until
19 they die or are brain damaged because doctors are unwilling to
20 recognize that an event is -- is connected to the vaccine.

21 The second high risk category is vaccinated with
22 the coinciding viral or bacterial infection. And the third is
23 vaccinated individuals who have a strong family history of
24 autoimmune disease, particularly Rheumatoid Arthritis, thyroid
25 disease and other kinds of autoimmune disorders.

1 And I found it very interesting that there has been
2 an identification, a potential identification, of a genetic
3 factor, with regard to this vaccine.

4 I support better labelling by the manufacturer what
5 is known now regarding reported adverse events and also the moving
6 of some of these from a precaution to a contraindication,
7 particularly with regard to vaccinating individuals who had --
8 have had previous Lyme Disease or have had symptoms of Arthritis,
9 etc. after vaccination.

10 And, certainly basic science research, FDA-driven
11 basic science research, particularly into antigenetic
12 predisposition to adverse response to vaccination and then, of
13 course, active surveillance of the vaccine adverse events that are
14 being reported around the country.

15 CHAIR DAUM: Is your view that the basics, that the
16 safety profile of the vaccine has changed, though, since we heard
17 it two years ago, or is this an ongoing concern of yours about the
18 same?

19 I need a sense of -- that what you're feeling is
20 about the change.

21 MS. FISHER: Well, since I was not on this
22 Committee when the decision was made all I can say is that
23 looking at what little I know about what the Committee looked at
24 then, this appears to be a continuing problem that -- that is
25 simply magnified now over -- over time, and that it cannot be

1 dismissed.

2 We cannot continue to dismiss these as coincidental
3 events, when we continue to have the patterns, and they are clear
4 patterns, and I found -- the reason I made the statement I did is
5 that I found that this -- this is the same with regard to other
6 patterns that have been seen after vaccination.

7 And, of course, a really good lesson that we
8 learned was with DPT Vaccine. Those patterns were found to be
9 correct because now we are seeing far fewer reactions to DTAP than
10 we did to DPT.

11 And so that experience, that anecdotal evidence
12 that was presented, has been shown to be correct with the
13 lessening of the symptoms after DTAP.

14 CHAIR DAUM: Okay. Thank you for clarifying. Dr.
15 Diaz.

16 DR. DIAZ: Dr. Estes covered at lot of the
17 comments that I was going to make actually.

18 I, likewise, was not here initially and yet, based
19 on the materials that have been provided to me, and the
20 information set forth, based on the studies and analyses done so
21 far to date in my mind the safety profile of this vaccine hasn't
22 changed significantly in terms of the data from when it was
23 presented for licensure.

24 That having been said, perhaps that's -- I also
25 tend to agree that there's not enough data, though, to say that it

1 won't change in -- like this Phase IV Studies that are currently
2 being done I don't feel that, based on the enrollment, that there
3 is enough data there to -- to really make a statement along those
4 lines from the standpoint of -- of the safety.

5 So I'm actually sitting in a position where I --
6 almost doesn't matter whether I was here two years ago or here
7 today, I feel like the information is fairly comparable, in a
8 sense.

9 And, yet, some of the extra data that's been
10 presented -- like the mouse model data, I didn't think was really
11 -- answered any of the questions about autoimmunity in particular.

12
13 And I'm not sure that projected studies will
14 necessarily answer all of the questions that have been raised,
15 likewise.

16 I would be very much in support of further
17 educating the public, certainly, and physicians regarding
18 information about the vaccine; who should be vaccinated and who
19 should be considered for vaccination.

20 Likewise, I would encourage the FDA to work very
21 hard with the sponsor to address some of the concerns, perhaps
22 such as HLA typing, prior vaccination, etc. and work out some way
23 to -- to at least inform people of those concerns, albeit them not
24 proven at this point in time.

25 And finally, I again raise my concerns over the

1 enrollment issues with the studies and it's disconcerting that we
2 -- certainly not from any lack of effort, obviously, on the
3 sponsor's part to do so. It's just that the numbers aren't there
4 and yet the numbers do probably exist out there somewhere.

5 And I would herald what was commented upon that
6 there probably are many, many physicians who have given hundreds
7 of doses of this vaccine.

8 And if a study were designed, one could perhaps
9 answer the question a little bit faster than what is currently
10 projected. Albeit, again, I guess I have to say that I don't know
11 how many more people will actually come into the database once the
12 Minnesota and the other groups are enrolled. So I would temper
13 that by looking at those projections.

14 CHAIR DAUM: Thank you. Before I call on Dr.
15 Manley, I guess just to clarify one thing.

16 I don't think people needed to be physically
17 present here to compare the database that was available at
18 licensure with where we are today.

19 Some of the same data were presented this morning
20 and the information has been available. So I would like to hear
21 people's comments as to whether they think it's basically a
22 question of whether there's new concerns or whether they think
23 that we're still -- we have concerns and we still have concerns
24 but -- and we'd like them answered more quickly.

25 It's a slightly different spin on the same issue.

1 DR. DIAZ: Right. I might clarify, because
2 obviously, you interpreted what I said, perhaps in a different
3 light. My comment in saying that I wasn't here before and yet, am
4 here today, was not to put forth any concerns about being able to
5 look at the data from that time to now.

6 It was the issue that there's not very much new
7 data.

8 CHAIR DAUM: Thank you. Doctor Manley, please.

9 DR. MANLEY: Well, I essentially concur with what
10 the two previous speakers have said that the concerns that were
11 expressed two years ago seem to be the same concerns that we have
12 today.

13 And, even though the sponsor said we know a lot
14 more, we have not really resolved some of the issues that were
15 before this Committee then.

16 I, too, am concerned about the slow enrollment and
17 it seems that at the rate we're going, it's going to take us a
18 long time to answer the questions that, are frankly, quite
19 troubling, I think certainly to me, and I'm sure to others.

20 And there are some things that are more troubling
21 than others, certainly the pregnancy registry and almost the lack
22 of almost no information in that area that we can really relate to
23 right now.

24 Certainly pediatric age group and the question that
25 came up near the end of this discussion and that is what patients

1 know and when do they know it, and how much assurance we have that
2 physicians are communicating with patients about quotes even if
3 they are not proven, some of the adverse reactions that have been
4 reported.

5 It seems to me that that is very troubling and that
6 whatever we direct FDA and the sponsor to do, going forward, that
7 that has to be addressed and that the surveillance should be much
8 more active than it is currently being described to us.

9 And that short of being able to address these
10 issues, one has to really look at the cost benefit ratio again.

11 You know, it's been said many times and outlined
12 very clearly. This is geographic, age distribution, treatable
13 with antibiotic and I think that ultimately this question has to
14 be addressed again by this Commission.

15 CHAIR DAUM: Thank you, Dr. Manley. Dr. Midthun did
16 you want to make a comment?

17 DR. MIDTHUN: Yes I would. I think as people go
18 around and perhaps some obviously -- there's been the issue of a
19 higher, the linkage shall we say an association between DR4 and
20 the treatment-resistant Lyme Arthritis and, therefore, concerns
21 whether perhaps a certain HLA type might put you at increased risk
22 for something for vaccine -- for vaccination adverse events
23 related to vaccination.

24 I guess I would like to just go back though to the
25 efficacy study and visit the issue that likely roughly 30 percent

1 of the individuals enrolled in that study were DR4 positive just
2 based on what we know of the prevalence of that.

3 And that we, in that particular study, did not see
4 a difference in the rates of Arthritis or Arthrosis or other
5 things. So perhaps if people might as they go around if they want
6 to address that particular issue and how that might be explored
7 further, given that backdrop, that would be very helpful.

8 CHAIR DAUM: Thank you and we'll go to Dr. Griffin.

9 DR. GRIFFIN: Okay. I also was not here two years
10 ago, but I have looked at the data and it seems like that we have
11 more data but what we have is more of the same data. And that
12 what we don't have is any new insights or more in depth
13 examination of the kinds of questions that were raised at that
14 time.

15 As I think I've already indicated, I don't think
16 the animal model is, contributes much, but it sounds like some
17 other people have animal models, that might actually be useful in
18 trying to sort out some of these issues.

19 And I think that really needs to be some basic,
20 more basic science approach to a better understanding of the
21 immune response to this vaccine of the types of immunologic
22 abnormalities or whatever may be ongoing, and people who have
23 complications.

24 And I'm sure that a lot of that kind of information
25 is available for Lyme Arthritis but also for various complications

1 of Lyme Disease.

2 But that the opportunities are available for really
3 doing some excellent work and we get hints, I guess is most
4 frustrating to me, is there would be hints that actually studies
5 have been done, the data didn't show much, but we weren't allowed
6 to see that data so there was no way that I can independently say
7 I don't -- you know -- I think that that shows that, you know,
8 it's very reassuring or whatever.

9 So, I was frustrated by that lack of sharing with
10 us, I guess, the data that does exist, particularly for cellular
11 immune responses to OspA, the relationship of that to HLA, and
12 types.

13 And I think that would be the kind of data I'd be
14 asking for, would be a better understanding if those people do
15 respond differently than the people that have a different HLA
16 type.

17 They may not be important but we can probably
18 figure that out. But those kinds of studies ought to be done and
19 they ought to be shared.

20 I certainly agree with the need to get active, some
21 sort of surveillance that answers the question that basically, I
22 think, Dr. Luft said most directly: Is there a problem or isn't
23 there?

24 And, right now, I don't think any of us feel
25 comfortable in saying there's not a problem or uncomfortable in

1 saying there definitely is a problem. We just really don't have
2 the data on which to be able to make that judgment.

3 So those are the things that I would suggest.

4 CHAIR DAUM: Thank you very much Dr. Griffin.
5 Let's move on to Dr. Kim, please.

6 DR. KIM: Well, I also agree that we still have
7 similar safety concerns remaining with us compared to two years
8 ago. Again I did not perceive any improved understanding or
9 knowledge on those issues whether I feel more safer now than two
10 years ago.

11 I think is the same concerns are currently under
12 investigation and ongoing. But I think it requires continued
13 investigations to address the issues that have been with us for
14 the last two years.

15 And the second issue, again, along the lines, again
16 everybody, the previous speakers have indicated issues regarding
17 HLA DR and OspA interactions.

18 I think that certainly needs to be addressed soon
19 in a format that is scientifically of acceptable fashion and at
20 the same token we have seen many vaccines have changed the format
21 over the years.

22 So if, indeed, you know again, we all agree that
23 this is important this is, therefore, vaccine is needed, then I
24 consider the current vaccine as, perhaps, first generation.

25 Then I think that we need to look into, a perhaps,

1 second-generation vaccine which, if that is possible, then
2 perhaps, eliminating the cross-reacting epitopes, apparently that
3 -- those regions do not overlap with the protective epitopes.

4 I'm sure that those kinds of constructs can be
5 serum proteins and purified proteins can be constructed and I
6 don't know whether they would be functional or not, but if indeed
7 they are then I think some of the issues then need to be
8 considered for developing safer vaccines for Lyme Disease.

9 And then, third issue, is I also support that some
10 sort of a vaccine package needs to be developed to indicate or to
11 at least to share the concerns that have been presented to us
12 today with the consumers and physicians.

13 I think they need to know what is going on, you
14 know, whether this is real or not, you know, there was a meeting
15 to address these issues. I think they need to know that.

16 And then, lastly, there is a study going on in
17 pediatric population I'm very concerned about that despite, you
18 know, having all the issues discussed today and I soon like to see
19 a very close monitoring of a pediatric studies for the safety and
20 other issues that have been brought to our attention today.

21 CHAIR DAUM: Okay. Thank you very much, Dr. Kim.
22 Dr. Stephens, you're up.

23 DR. STEPHENS: I think the comparative safety data
24 that's been presented really hasn't changed, in my opinion, from
25 what we saw from the '98 review.

1 I wasn't on the Committee at that time, but
2 certainly, the data provided doesn't suggest that there's been a
3 significant change. What has changed in my mind is the weight of
4 what is largely anecdotal data, but certainly a huge body of
5 anecdotal data suggesting that there may be, that we may be
6 missing something with this vaccine.

7 I think that's the concern of -- of many of the
8 Committee members. I'm bothered by the issue of this vaccine in
9 the setting of prior Lyme Disease and I'm also bothered by the
10 issue of this vaccine with certain HLA types.

11 And I don't think we know a lot about the immune
12 response to Borrelia in general or specifically to this vaccine.

13 I would certainly, a point made about active
14 surveillance in endemic areas is something that I think should be
15 strongly considered as well as increased patient information and
16 potential increasing warnings regarding the package, package
17 insert.

18 CHAIR DAUM: Thank you very kindly, Dr. Stephens.
19 Dr. Snider.

20 DR. SNIDER: Well I was here. I remember, and I
21 think there is one thing that's different about the atmosphere and
22 that is that the characterization of Lyme Disease was different at
23 that meeting, and that there were a number of people from the
24 general public who made comments about how devastating Lyme
25 Disease had been.

1 And I do recall very vividly subsequently when the
2 Advisory Committee on Immunization Practices released its
3 statement about Lyme Disease should be considered for people in
4 certain high risk areas with certain high risk activities, that in
5 that we made some comment, which seems rather benign, to the
6 affect that most cases are treatable with antibiotics, that we
7 received thousands of letters from the public indicating that that
8 wasn't true.

9 And, that there were a lot of treatment failures
10 and we weren't being as supportive of the vaccine as we should.

11 And so I just remind people of that particular
12 environment, and that information that people delivered.

13 With regard to the concerns, I guess since some of
14 my quotations were in the written document it's clear that I had
15 concerns at that time about long-term affects.

16 I think we do have some more data, and I appreciate
17 the sponsors obtaining that additional data for us.
18 Unfortunately, as in many cases with many vaccines, when we're
19 talking about uncommon events, if not rare events, we don't have
20 enough data to be able to draw any definitive conclusions.

21 And so I would agree with a lot of my colleagues
22 here that the concerns that we had back then have not been
23 completely alleviated, and in fact, additional studies that had
24 been done in the interim have raised our concern.

25 And we certainly are concerned about what has

1 happened to the people who spoke here, and their family members,
2 and have a great deal of concern about whether that is related to
3 the vaccine or not.

4 As Dr. Estes pointed out, you know, a number of
5 studies could be done from the standpoint of animal studies, in
6 vitro immunologic studies, clinical studies, and so forth.

7 But I think we have to choose very carefully
8 because there aren't unlimited resources.

9 I do think the post-marketing cohort study was an
10 excellent idea as I think everybody else is very disappointed, and
11 I'm sure the sponsors disappointed as well, with regard to the
12 enrollment of persons into that study and the fact that we don't
13 have more information now.

14 I do have concerns when we talk about doing active
15 surveillance, although on the surface it sounds like it might be -
16 - help pick up more cases, it would have to be done in a way that
17 doesn't bias the study as Dr. Platt alluded to.

18 Because if everybody knows that you're looking for
19 certain conditions that might result from LYMERix, then that's
20 what they'll give you.

21 And, therefore, you would have to do a very
22 carefully designed study in a manner that I haven't thought of
23 exactly right now. That's not to say it's impossible, but to more
24 aggressively go after cases and invoke vaccinees and controls.

25 The registry idea is something that I wouldn't

1 totally give up on. I think it's worth exploring. I realize that
2 all of these things would be quite costly and logistically
3 difficult and may not get us down the road any more rapidly than
4 what -- the speed we're going with regard to the post-marketing
5 cohort study that's already been designed.

6 I am very concerned about the potential long-term
7 effects, and one of the things we haven't talked about is, you
8 know, how long will efficacy remain in future years, are there
9 going to have be additional boosters?

10 And if there have to be additional boosters will
11 that present additional problems. So I think the problems with
12 this vaccine are going to continue to be in front of us, or at
13 least the potential problems.

14 I agree with folks who indicated that there need to
15 be some modifications in the package insert and that we should
16 more aggressively promote a vaccine information sheet that has the
17 appropriate information.

18 I apologize I didn't look at the package insert but
19 it sounded to me from what Sid Wolfe said that in the indications
20 area perhaps need to be modified to reflect the geographic risk as
21 well as the activities risk.

22 I think the manufacturer already has indicated a
23 desire to put in something about hypersensitivity reactions.

24 And then there is the issue of what to say about
25 the possibility of chronic arthritides or other autoimmune

1 diseases. And I don't think we have definitive information that
2 indicates that those are long-term adverse events.

3 On the other hand we do have some plausible
4 hypotheses that have not been disproven and so it's not clear to
5 me in this kind of a setting how one deals with that in a vaccine
6 information sheet or in a package insert in a way that is
7 understood by the average practitioner or the average patient.

8 CHAIR DAUM: Thank you very much Dixie. I'm going
9 to do a little bit a reverse field here because there are some --
10 we're starting to encroach on airplane schedules, assuming that
11 planes are running on time.

12 And, we'll actually start at this end of the table
13 with Dr. Ferrieri and work our way up, if that's okay. And, we
14 are aiming for a 5:30 adjournment. So, please be succinct, if
15 some things already been said in some detail, you can merely say
16 that you agree with it.

17 But please feel free to expand on points, should
18 you wish to. Dr. Ferrieri

19 DR. FERRIERI: Well, I was here the last time on
20 this subject, also. Dear BBC, New York Times, London Times and
21 CNN and everyone else, please don't call my office.

22 I don't return any calls on Lyme vaccine. What I
23 say is part of the public record. It will be posted on FDA's
24 website. Sorry if that seems intractable but I feel that we can
25 only be misquoted on what we say.

1 I had my chance to say several things at the
2 beginning today, so I won't reiterate them. I feel that there is
3 more data to examine, but the concerns that I had personally
4 before have not been assuaged by anything I've heard today. And I
5 feel the background noise that we're hearing may be greater.

6 My concern is greater than it was before and there
7 are several areas that we have not yet been able to gain
8 information on that I commented on before and that Dr. Snider has
9 resurrected, the issue of further boosters, the length of
10 protection, etc.

11 In a nutshell, I think FDA has to grapple with the
12 serious issue of is it sufficient to do revisions to the package
13 insert. Well, that really -- how far will you be pushed to have
14 to do something more drastic than that, Dr. Zoon and Dr. Midthun,
15 et al?

16 I think that you have to deal with what you have in
17 front of you. Are we going to be able to resolve these issues
18 expeditiously or should you put a moratorium on the vaccine until
19 you are able to very critically examine what we have and what is
20 realistic to move forward.

21 It's with great regret that I say this to you.
22 I've never had to say this before. I've never heard, in all of
23 the years I've been on the Committee heard this type of concern
24 iterated without Agency response that has satisfied the
25 dissatisfying from my point of view.

1 I consider what we're dealing with today to be
2 very, very serious and I would like to throw back to you the need
3 for you all to reexamine how this fits in to your mission and in
4 the public health realm.

5 And, so, I agree with others who would like more
6 basic science work done as I iterated in the beginning. The Phase
7 IV Study dissatisfaction may not, perhaps it will come forward
8 sooner -- maybe not.

9 There are too many ifs here for us to feel secure
10 that the answers will be forthcoming.

11 So, again with great regret, I think that you have
12 to examine where you are and what we owe to the public.

13 CHAIR DAUM: Thank you, Dr. Ferrieri. Dr. Myers,
14 please.

15 DR. MYERS: Well, I think we do have more data. I
16 wasn't here. And, it's reassuring, but it's very limited. It's a
17 cross-over design functionally.

18 I think it's important to say that at this point
19 there is no evidence of chronic arthritides being associated with
20 the vaccine.

21 That said, though, I think everybody's expressing
22 the same concern that such an association could exist, it has
23 biologic plausibility. I've heard a couple of people comment that
24 they suspect that the possibility of a VAERS signal, and that
25 this needs to be aggressively pursued.

1 I think the concern that I have is that we need the
2 data as quickly as we can possibly get it from as many sources as
3 possible to allow the assessment of likelihood of causation versus
4 coincidence.

5 I just don't think we have that. I think vaccine
6 information, providing vaccine information, is -- it would be very
7 important.

8 But I think the real issue that I would like is to
9 see an aggressive approach to getting the data to allow an
10 assessment. I think the Cohort Study is really important. It's
11 going slowly. It's going to be an important study and I think
12 it's important not to dilute it or allow it to collect data that
13 isn't accessible across the whole study

14 With that said, there are an enormous number of
15 vaccinees that aren't being collected that are in areas where the
16 attack rate for Lyme Disease is much higher than Massachusetts.

17 Rhode Island, I guess, is going to be part of the
18 Cohort Study. But, there's Connecticut. There's Long Island.
19 There's all the way down through the mid-Atlantic States.

20 And I think it's really critical that we try and
21 get that data as quickly as possible so that we can do the
22 assessment that needs to be done and either say that this is a
23 problem or we can allay the concerns about it. I guess that's it.

24 CHAIR DAUM: Thank you very much, Marty. Dr.
25 Goldberg.

1 DR. GOLDBERG: I was not here two years ago, and I
2 must admit, as I reviewed the materials, that I might have had
3 difficulty approving the vaccine at the time -- voting for
4 approval at the time.

5 I don't see sufficient new data. And it makes me
6 very nervous that the rate of accrual of new data is too slow.
7 And so, what I would urge, is that with all speed, you start to do
8 some surveillance. Whether it's active surveillance, registries
9 in combination with the ongoing efforts.

10 Because I think you have to cover the
11 bases on a lot more fronts than you are and much more aggressively
12 if you want to get some resolution.

13 I do believe that patient information has to be
14 made much more accessible. One possibility is that that all done,
15 that all provided, the rates of vaccination will decrease even
16 more and so it will become even harder to definitively collect
17 more data.

18 And I think you have to weigh all of these,
19 somebody has to be, the FDA and the sponsor have to be working out
20 what the numbers are and what kinds of timetables you have to come
21 up with to get some of these projects underway.

22 I also was concerned about the discussion of case
23 definition that came up in the open part of the hearing. And, the
24 fact that in the original studies this very specific definition
25 was used and I would urge, that if it's possible, to reanalyze

1 that data with sliding definitions of cases. And determine, what
2 kind of affects misclassification on case definition could have on
3 the efficacy results.

4 I don't know if that was done. There wasn't enough
5 detail provided. Basically, I think everything else I would say
6 was covered already.

7 CHAIR DAUM: Thank you very much. Are there any
8 more airplane concerns on the remaining people that need to speak
9 or can we just go in sequence? Good. Dr. O'Fallen.

10 DR. O'FALLEN: I too was underawed by the amount of
11 data that were available two years ago regarding adverse affects,
12 and read with a great deal of interest about the Cohort Study that
13 we now hear is in serious jeopardy.

14 But that was why I was asking so much about it
15 because I thought it would be so essential. I think those data
16 collected in a systematic a way as possible, and I truly do
17 approve of the design of the study that is currently underway and
18 I only wish that they could access more data. I think we do need
19 more data.

20 There is evidence that something's going on out
21 there, I truly believe, and my answer to the question that was
22 posed so eloquently and so frequently from the floor several times
23 today, is no.

24 CHAIR DAUM: What question is that?

25 DR. O'FALLEN: Would I take the vaccine.

1 CHAIR DAUM: Dr. Davis, please.

2 DR. DAVIS: Thank you. I have several issues that
3 I certainly concur with our prior speakers regarding. Not in any
4 one particular order.

5 One question I have would be the impact on what we
6 haven't heard and unfortunately we didn't hear in a more of an
7 anecdotal way, from one physician, who had provided a letter for
8 us to read.

9 But what is the impact on the occurrence of Lyme
10 Disease in communities where the vaccine has been more widely
11 used? Are there any decent surveillance data in those communities
12 where we can get at least some assessment of trends in actual
13 occurrence of the disease?

14 Some of these communities may be actually smaller
15 and I think being able to make an appropriate assessment of data
16 in those communities may be difficult to do. But I think very
17 important.

18 Along those lines, what Dr. Dattwyler had
19 recommended earlier, doing an objective assessment of physicians
20 experienced with using the vaccine and their experience with side
21 effects, I think would be important.

22 I certainly concur with that and I'd also want to
23 make sure that the whole issue of their recognition of side
24 effects is important as well, because of the issue that was
25 raised, are people not adequately recognizing what may actually be

1 an event.

2 So I think probing that, of course, would be important to do.

3 One thing I'd be interested in also, is knowing how
4 often in the occurrence of Lyme Disease, OspA is actually
5 encountered. Certainly as a construct for the vaccine.

6 I think this is a very unique vaccine and I think a
7 lot of thought went into the design and I think it was very
8 clever. But what is the rate of human encounter with OspA and
9 when.

10 We certainly heard about the issue of it being --
11 well at least some immune response to it being produced later in
12 the course of illness. But I'd certainly want to know more
13 information about that.

14 The whole issue of basic research on OspA I think
15 is very important given what we now are learning more about
16 regarding the whole issue of autoimmunity. And then the issue of
17 enrollment in the Phase IV Study.

18 One question I would have would be: What can be
19 done to enhance the enrollment without compromising the quality of
20 data? Do you have to go to smaller HMO's that have smaller
21 databases but nonetheless have high quality data? Would that be
22 the type of data that would be needed?

23 You have to balance that with the HMO's that may
24 have the appropriate quality data may have been asked to
25 participate in a lot of other studies because of the very nature

1 of the quality of their data. So, clearly, there is a dichotomy
2 here but perhaps one that should be explored a bit further.

3 And then the other issue I think that I had some
4 questions about is the whole issue of reactivation. Some of the
5 Western Blot patterns certainly presented in a bit anecdotal way
6 in the information that we had to read are very interesting and
7 I'd want to know more about that.

8 CHAIR DAUM: Thank you. Dr. Coyle?

9 DR. COYLE: Well, I was here two years ago, and the
10 safety profile has changed, and it has changed for one real
11 reason. Although the information presented on the 8,000 or so
12 that have had the vaccine suggests this seem to be safe in the
13 majority of individuals.

14 There is now, which wasn't a few years ago, the
15 suggestion that in a minority of individuals, a few of those this
16 vaccine can produce a devastating, a generalized chronic pain
17 syndrome that really disrupts lives. And there was not a hint of
18 that at all.

19 And the only data for that are the testimonies that
20 I've heard. Because it's not captured anywhere else. So I think
21 that's of concern. That wasn't raised two years ago in my opinion
22 and that indicates that there's a subset of individuals in whom
23 it's a bad thing to get the vaccine. That it can be potentially a
24 very devastating thing.

25 I think that the Cohort Study -- the reality is it

1 sounds that they're not going to get 25,000 patients in a
2 reasonable time frame. So something has to be done, something has
3 to be done to increase the numbers because it just doesn't sound
4 like they are going to get it.

5 Secondly, I think we need to learn more about the
6 sorts of patient testimonials that we heard or heard about from
7 letter. We know very -- we know nothing about these patients.

8 So let's get a registry of these patients to try to
9 figure out what seems to be the background to try to cull out a
10 group that may be at risk where you don't want to give this
11 vaccine.

12 Finally, the preliminary, very sketchy, I mean 30
13 pregnant patients, and we have data on a minority of them and the
14 data that we have available is very bothersome. I think we need
15 to get some real pregnancy data. That should be a real push.
16 That's disturbing.

17 And finally, I think something does need to be
18 added to the patient insert -- to the package insert here. Even
19 if we don't have clear cut data, the fact that it's now been
20 raised that in, granted perhaps a very small minority, but in a
21 small minority, this can be a bad thing to take, potentially.

22 It needs to be put in somehow that this has been
23 raised as a question and investigations are ongoing, etcetera, so
24 that people can know about it; and physicians.

25 DR. LUFT: I'll just make a couple of comments

1 because I've commented enough today. If you look at the sponsor's
2 data, there's no difference. I think that that's what they've
3 stated and they showed us the data. There's no significant
4 difference.

5 What's the problem? The problem is -- it's a
6 problem of perception and a problem of confidence. And I think
7 that that's a really big problem.

8 I think that everybody in this room whose involved
9 with vaccine design or administration realize that that's a very
10 large problem. It's a problem of perhaps why this vaccine has
11 such poor uptake within the community.

12 And it goes on both sides now. My feeling is I was
13 here two years ago. There were certain suggestions that were
14 made. My expectation is that the company, that the sponsor, would
15 have been very vigorous in doing it. Actually they got a gift.

16 They were approved for a vaccine for this disease
17 which was really very unique in many ways. It's mode of action
18 was unique. It was the first lipoprotein that was licensed, that
19 was given an indication.

20 It was a new -- it was all new -- and you would
21 have expected -- and it was done in record time if I remember. It
22 was really done in a very short time.

23 And I'm disappointed today. Because I hear some
24 information here and I hear some information there. And I don't
25 hear good data. We really are sitting in a situation in a sea of

1 just what we feel. Because no one is giving us data.

2 And the same thing could be said on the science
3 part of it. Two years ago the group described the issue of the
4 whole LFA. DR4 was something that was there. It's now being
5 talked about as if it's gospel.

6 There was nothing that came out, or very little
7 that I know of that's come out since that time. There hasn't been
8 anybody that has really come out and validated that work. That
9 has looked at this patient population, etcetera, etcetera.

10 My greatest fear is that this is a big disease.
11 When we talked about, I think Dixie was talking about that his
12 perception was that there were a lot of people that were
13 suffering. And I can attest to the fact that in our community,
14 that Lyme disease was and is a very big issue.

15 It's not that there is no need for a vaccine. What
16 I think there is a need for is a vaccine that people have
17 confidence in. There's a need for a vaccine that, once it's given
18 its license or indication that there will be ongoing research and
19 surveillance, that will meet the privilege of being out there and
20 the public being administered to -- to patients. I just don't
21 think that's being done.

22 So I know there have been a number of suggestions
23 that have been made as to how we can more vigorously and actively
24 get to the answer as to whether adverse events are actually
25 occurring or not actually occurring.

1 And I support that wholeheartedly. I support much
2 smarter people than me making those suggestions on how those types
3 of studies should be done.

4 But at the end of a short time we should be able to
5 come back here and get real information and not feel that we're on
6 a ship that's sort of in the middle of a storm.

7 DR. RAY: I want to I want to comment briefly from
8 an epidemiologic perspective. First, I think there is a real
9 basis for safety concern with this vaccine. Back of the napkin
10 calculations suggest that 5 to 6% of current VAERS reports are
11 reports for this vaccine which seems large given that its uptake
12 is less than expected.

13 So I think there is a basis for safety concerns.

14 Second, I don't think that the post-marketing
15 studies that are planned are going to achieve their power
16 objectives. And for that reason, I think studies with greater
17 precision are needed be they Cohort studies or a variety of
18 methods or case
19 control studies.

20 DR. DATTWYLER: Well, I was here two years ago and
21 as a matter of fact I was sitting in this seat and I also had the
22 last word at that time.

23 CHAIR DAUM: I have the last word.

24 DR. DATTWYLER: Oh you have the last word. I meant
25 of the panel. You know I totally agree with what most of the

1 people have said. I think that Dr. Snider's point of the
2 atmosphere at that meeting versus the atmosphere in this meeting
3 is very important to realize.

4 And ultimately physicians have to decide what's
5 best for their patients. And to do that in an intelligent way you
6 need to know the risks and the benefits.

7 And as I sit here, like everybody else, have no
8 greater feeling for what are the risks of this vaccine than I did
9 two years ago. And that's bad. And I totally agree with what Dr.
10 Myers said and what Dr. Loft said and everybody else is that we
11 need to get that data so we can plug that into a risk-benefit
12 analysis and make an intelligent choice for our patients.

13 Vaccines and drugs, we know can have adverse
14 reactions. If you know what the adverse reactions are and the
15 incidence of those adverse reactions and then you know what the
16 risk that your patient runs, then you can make an intelligent
17 choice and right now we can't make an intelligent choice. So I
18 agree that we need to, like everybody else, that we need more
19 data.

20 CHAIR DAUM: Dr. Ellenberg.

21 DR. ELLENBERG: Yes, I'm sorry. I just want to
22 make a quick clarification on the back of the envelope
23 calculation. I think we have somewhat over 1,000 reports, is that
24 right, on Lyme Disease vaccine. We have well over 100,000 total
25 reports in the database. We've been getting 10 to 12,000 reports

1 a year. So it would be more like under one percent I think of the
2 total.

3 DR. RAY: Well let's think it through though. You
4 get about 10,000 a year, according to the documentation. And
5 there have been 1,100 reports approximately in two years so that
6 is 550 over 10,000.

7 DR. ELLENBERG: Okay. That's not what I --

8 DR. RAY: I would come up with about 5 percent of
9 current reports or 5 to 6 percent are for this vaccine which seems
10 to me high.

11 CHAIR DAUM: Well just to sort of anchor and to try
12 and not be repetitive. I, of course, was here two years ago also
13 and am grateful to Dixie and others for making the comment about
14 how different the atmosphere was then.

15 But I still don't feel that it's appropriate to
16 apologize for that decision. I actually think it was a correct
17 decision to go forward.

18 I'd like to, before I say anything, remind
19 everybody that this meeting we had today was very unusual in that
20 the FDA has called us together to talk about a licensed product --
21 to get our sense of where we think the safety data are. And I
22 think that's a tribute to the agency's concern.

23 I'm also profoundly moved by the patients and
24 families who took the time to come here and talk to us. But I had
25 some concerns about the safety profile two years ago and some

1 concerns about the efficacy two years ago and I believe I'm on the
2 record as having articulated those.

3 I'm not sure whether I believe that there is
4 convincing evidence of new safety concerns or not. And that may
5 be a statement of where things are and perhaps should not be. I
6 can't accept the notion that this study can't be done anywhere
7 else.

8 The case control study is going forward so slowly
9 because there are no other quality sites to do it and I am very
10 disappointed that that hasn't gone forward more quickly.

11 I applaud Dr. Ball and colleagues for taking VAERS
12 reports which are very difficult to make head or tail out of,
13 separate numerator data from denominator data and trying to nest a
14 case control study within that to look at some important issues as
15 well.

16 I'm disappointed that we're not further ahead I
17 guess in understanding the safety issues of two years ago and
18 remain unsure of whether we've deteriorated or behind or not. I
19 didn't hear convincing evidence that there are major new concerns
20 despite all the comments that were heard.

21 The package insert does need to be updated. At the
22 very least reflect issues like hypersensitivity that have come to
23 light since two years ago, but they appear to be relatively minor
24 in the overall scheme of things.

25 I think the people who came to talk to us today

1 from all over the country -- that their comments need to not go
2 unheeded. And what I would suggest is to begin to see if what Dr.
3 Lufts said is true. Are those reports not in any of the
4 databases? Are they not in the manufacturers pre-licensure
5 database? Are they not in the VAERS database?

6 I would like to really find out whether that's so.

7 Because if your conclusion is correct that they're really not,
8 then something is wrong with our system. Something is really
9 wrong with our system. And, once I've made that determination, I
10 would then go forward designing studies to address some of the
11 diverse complaints that the patients and their families had, which
12 by themselves, need some thought as to how frequently they're
13 occurring.

14 The information sheets takes a lesson out of the
15 pediatric vaccine book and patients who take this vaccine or any
16 vaccine have got to be informed of what they're getting into.

17 And so, I highly applaud that and believe that Dr.
18 Manley's comments are difficult to implement because we can't
19 standardize what patients are told in this country but
20 nevertheless, having the sheet available like that, would go a
21 long way to providing the framework for a physician or a provider
22 to have dialogue with a patient.

23 So I think that we've really had a wonderful
24 meeting here. We've heard lots of points of view. I think the
25 call for Dr. Ferrieri and others that more basic science needs to

1 be done to address the issues that are unknown about the
2 pathophysiology of this disease are beyond the scope of dealing
3 with just the vaccine -- but also intimately tied up with it.
4 They can't be neglected. But I'm not sure we can solve those
5 problems in this room.

6 I want to thank everybody who took the time to
7 share views with us and debate these issues with us. I think
8 we've had a wonderfully informative day. Before we stop, Dr.
9 Ellenberg will have the last word.

10 DR. ELLENBERG: Well, I just want to say that that
11 certainly some, perhaps many, or even most of the stories that
12 we've heard today, have been reported to VAERS and they are
13 included in the summaries that Dr. Ball presented and I would
14 certainly urge that anybody here who has not made those reports,
15 do, because that's the only way we know what is happening if those
16 are reported.

17 As Dr. Ball described, he is going to be following
18 up on these reports to try to and have a better understanding and
19 a grasp on all of these types of reports that we have received.

20 CHAIR DAUM: Thank you for clarifying that and this
21 meeting is adjourned.

22 (Whereupon, at 5:25 p.m. the above-entitled matter
23 was concluded.)
24